

MEETING  
STATE OF CALIFORNIA  
ENVIRONMENTAL PROTECTION AGENCY  
AIR RESOURCES BOARD  
SCIENTIFIC REVIEW PANEL  
ON TOXIC AIR CONTAMINANTS

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY  
SIERRA HEARING ROOM, 2ND FLOOR  
1001 I STREET  
SACRAMENTO, CALIFORNIA

MONDAY, MARCH 4, 2019

9:31 A.M.

JAMES F. PETERS, CSR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

Cort Anastasio, Ph.D., Chairperson

Ahmad Besaratinia, Ph.D.

Paul D. Blanc, M.D.

Stanton A. Glantz, Ph.D.

S. Katharine Hammond, Ph.D.

Michael T. Kleinman, Ph.D.

Joseph R. Landolph, Jr., Ph.D.

Lisa A. Miller, Ph.D.

REPRESENTING THE CALIFORNIA ENVIRONMENTAL PROTECTION  
AGENCY:

Ms. Chris Tiedemann, Deputy Secretary, Law Enforcement and  
Counsel

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Jim Behrmann, Panel Liaison

Mr. Dave Edwards, Assistant Division Chief, Air Quality  
Planning and Science Division

Ms. Karen Magliano, Chief, Office of Community Air  
Protection

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD  
ASSESSMENT:

Dr. John Budroe, Chief, Air Toxicology and Risk Assessment  
Section

Dr. Daryn Dodge, Air Toxicology and Risk Assessment  
Section

A P P E A R A N C E S C O N T I N U E D

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD  
ASSESSMENT:

Dr. John Faust, Chief, Community and Environmental  
Epidemiology Research Branch

REPRESENTING THE DEPARTMENT OF PESTICIDE REGULATION:

Ms. Pam Wofford, Chief, Environmental Monitoring Branch

I N D E X

	PAGE
1. Welcome and Introductions	1
<p>New Scientific Review Panel members will be introduced. Panel members will also be briefed on the Bagley-Keene Open Meeting Act.</p>	
2. Review of "Hexamethylene Diisocyanate (Monomer and Polyisocyanates) Reference Exposure Levels" - Scientific Review Panel Review Draft - February 2019	17
<p>Office of Environmental Health Hazard Assessment (OEHHA) staff will present a draft document summarizing the toxicity and derivation of proposed acute, 8-hour, and chronic reference exposure levels (RELs) for hexamethylene-1,6-diisocyanate (HDI) and HDI-based polyisocyanate mixtures. RELs are airborne concentrations of a chemical that are not anticipated to result in adverse non-cancer health effects for specified exposure durations in the general population, including sensitive subpopulations.</p> <p>OEHHA is required to develop guidelines for conducting health risk assessments under the Air Toxics Hot Spots Program (Health and Safety Code Section 44360 (b)(2)). In response to this statutory requirement, OEHHA adopted in 2008 a Technical Support Document that describes the derivation of acute, 8 hour and chronic non-cancer RELs. This guideline has been used to develop the RELs for both HDI and HDI-based polyisocyanate mixtures. After the Panel's review the document will be finalized and will be added to Appendix D of the Technical Support Document.</p>	
3. Update on the Implementation of Assembly Bill 617.	104
<p>In response to Assembly Bill (AB) 617 (Chapter 136, Statutes of 2017), the California Air Resources Board (CARB) established the Community Air Protection Program to reduce exposure in communities most impacted by air pollution. The</p>	

I N D E X C O N T I N U E D

PAGE

Panel is one of several groups being consulted about the implementation of the program. CARB staff will update the Panel on current activities and will discuss opportunities for engagement by the Panel. Background information about AB617 is available at:

4. Consideration of administrative matters. 170

The Panel may discuss various administrative matters and scheduling of future meetings.

Adjournment 173

Reporter's Certificate 174

## P R O C E E D I N G S

1  
2 CHAIRPERSON ANASTASIO: Okay. Good morning,  
3 everyone. We're going to get started, so if you could  
4 talk your seats.

5 All right. Welcome to the meeting of the  
6 Scientific Review Panel on Toxic Air Contaminants. I'd  
7 like to welcome everyone who's here in person, and welcome  
8 everyone who's watching on the web. My name is Cort  
9 Anastasio. And I've been on the Panel for - I can't  
10 remember - maybe five years. And I was recently appointed  
11 Chair in December by the CalEPA Secretary, consistent with  
12 this policy of rotating Chairs. So other Panel members  
13 look forward to your turn as a rotating Chair.

14 I want to take a minute to thank Mike Kleinman,  
15 who's been the Chair for the past five plus years. And we  
16 all appreciate his service and his smooth running of past  
17 SRP meetings. I'd like to -- oh, one other note about  
18 Mike, he's continuing on the Panel as a member. He's also  
19 going to serve as a Panel representative on the AB 617  
20 Consultation Group. And we'll be talking about 617 as our  
21 third agenda item today.

22 I'd now like to go around and just have each  
23 Panel member introduce themselves. For continuing Panel  
24 members, just, you know, your brief general introduction.  
25 We do have two new members. Dr. Ahmad Besaratinia, who's

1 an expert in oncology, if I remember correctly, and Dr.  
2 Lisa Miller, who's a pathology expert.

3 So Ahmad and Lisa, first welcome, and then  
4 second, when it's your turn for introduction, give us a  
5 little more detail about the type of work that you do.  
6 Yeah.

7 Okay. So I'll go first. I'm Cort Anastasio.  
8 I'm a professor of atmospheric chemistry at University of  
9 California, Davis.

10 Ahmad.

11 On note about microphones. So make sure you  
12 press the button so that the green light is on when it's  
13 your turn to talk. Make sure to speak into the  
14 microphone. And then when you're done, please turn off  
15 the microphone.

16 PANEL MEMBER BESARATINIA: Good morning. I'm  
17 Ahmad Besaratinia. I'm associate professor of preventive  
18 medicine at Keck School of Medicine of University of  
19 Southern California.

20 Is it better?

21 Yeah. I'm a cancer biologist by training.  
22 Currently, I'm doing research on cancer genetic and  
23 epigenetic, mostly evolving tobacco-related diseases as  
24 well as sunlight associated cancers in relation to UV  
25 radiation.

1           PANEL MEMBER HAMMOND: I'm Katharine Hammond from  
2 UC Berkeley, School of Public Health. I'm a professor of  
3 environmental health sciences, and Associate Dean for  
4 Academic Affairs. My research focuses on exposure  
5 assessment, both epidemiolo -- for epidemiology studies in  
6 the workplace and in the environment.

7           PANEL MEMBER LANDOLPH: I'm Joseph R. Landolph,  
8 Jr. I'm an associate professor of molecular microbiology  
9 and immunology and pathology, and toxicology at the  
10 University of Southern California, and a member of the USC  
11 Norris Comprehensive Cancer Center. My work focuses on  
12 molecular carcinogenesis, genetic toxicology. And we  
13 specialize in nickel and chromium compound carcinogenesis.

14           PANEL MEMBER GLANTZ: I'm Stan Glantz. I'm a  
15 professor of medicine at UCSF. I run the tobacco program.  
16 More recently I've gotten involved in cannabis and  
17 e-cigarettes, sugar. And I'm in the biostatistics seat on  
18 the Panel.

19           PANEL MEMBER BLANC: I'm Paul Blanc at UCSF. I'm  
20 professor or medicine. And my area is occupational and  
21 environmental medicine and medical toxicology. And I just  
22 want to clarify, Stan's statement that he's gotten  
23 involved in research relate to cannabis.

24           (Laughter.)

25           PANEL MEMBER GLANTZ: That's true, yes. Yes.



1 (Laughter.)

2 PANEL MEMBER KLEINMAN: Good morning. I'm Mike  
3 Kleinman from UC Irvine, and I am an environmental  
4 toxicologist.

5 PANEL MEMBER MILLER: Hi, everybody. I'm Lisa  
6 Miller. I'm a professor at the School of Veterinary  
7 Medicine at UC Davis. I also serve as the Associate  
8 Director of Research at the California National Primate  
9 Research Center.

10 My background and training is in lung biology, as  
11 well as immunology. I study the influence of inhaled  
12 pollutants on early life development, lung development, as  
13 well as immune development using multiple animal models.

14 CHAIRPERSON ANASTASIO: Great. Thank you, all.  
15 Just one note Beate Ritz can't be with us today, so we're  
16 eight strong today.

17 A couple of administrative matters. Restrooms  
18 and drinking fountains are to the left when you leave the  
19 room and down the hall. If there's a fire alarm, please  
20 exit down the stairs and then proceed outside the  
21 building. And then one final note, we do have a Spanish  
22 interpreter with us today. So if you need any  
23 interpretation services, I guess please talk with him  
24 directly and he'll set that up.

25 (Thereupon the interpreter spoke in Spanish.)

1 CHAIRPERSON ANASTASIO: Gracias.

2 Okay. Today, in our meeting agenda we've got  
3 three main agenda items. First is a briefing on the  
4 Bagley-Keene Open Meeting Act, the second is a review of  
5 the proposed REL, the reference exposure Level, for  
6 hexamethylene diisocyanate. And then we'll have a  
7 discussion -- or a presentation from ARB about the updated  
8 implementation of Assembly Bill 617 and an exploration of  
9 the role of the Panel in 617.

10 So we're going to start with the first agenda  
11 item, the Bagley-Keene Open Meeting Act. Chris Tiedemann  
12 who's the Deputy Secretary for Law Enforcement and Counsel  
13 of CalEPA is here to discuss Bagley-Keene with us. I know  
14 we have periodic questions about what the Open Meetings  
15 Act covers. And so, Chris, thank you very much for  
16 helping to clarify this.

17 CALEPA DEPUTY SECRETARY TIEDEMANN: Good morning.  
18 The Bagley-Keene Open Meeting Act is a expression by the  
19 California State Legislature of the importance of the  
20 gatherings of multi-member State-appointed public bodies  
21 being open to the public, and -- and to provide a  
22 opportunity for the public to participate in the meeting  
23 by submission of written or oral comments.

24 The -- it's a overarching and important State  
25 law, because of the importance of public policy and public

1 decisions not being conducted in back rooms. And there  
2 are three central components to the act. One is that  
3 there be public notice of all meetings of multi-member  
4 State bodies, including notice of the items on the agenda  
5 that will be discussed at the meeting, a opportunity for  
6 members of the public to provide comments on matters on  
7 the agenda, and that meetings be publicly accessible.

8           The bodies covered by the Act - obviously this is  
9 one of them - they are multi-member State bodies that are  
10 created by statute. There are a couple of exceptions that  
11 don't pertain to this body. The Act defines what a  
12 meeting is. And the definition is, "Any congregation of a  
13 majority of the members of the State body at the same time  
14 and place to hear, discuss, or deliberate upon any item  
15 within the subject matter jurisdiction of the committee".

16           The Act -- that definition would lead you to  
17 believe that it's only when you're all together in the  
18 same room that you're conducting a meeting that's covered  
19 by the Act, but that's not accurate. The Act also covers  
20 something referred to as serial meetings. And serial  
21 meetings are a communication between one member of the  
22 committee and another by telephone, face-to-face, or even  
23 by email, that then is forwarded to another member of the  
24 meeting, then another member of the committee, another  
25 member of the committee, to the point that a majority of

1 the members of this body have communicated about an item  
2 within the body's jurisdiction.

3           So I should have caveated before. A meeting is a  
4 congregation of the members of the Committee. An illegal  
5 meeting is any such congregation or serial communication  
6 that involves a majority of the members of the committee.  
7 In this case, that's five people.

8           So if Dr. Cort were to send an email to Dr.  
9 Hammond who then forwards it to Dr. Landolph, then to Dr.  
10 Glantz, and then it goes Dr. Blanc, that's a violation of  
11 the Act. And serial meetings can get people into a lot of  
12 trouble and be very careful about your communications by  
13 email, telephone, or in-person with individual members of  
14 this committee that may then go to the other members.  
15 It's not only a basis for invalidating whatever the  
16 committee does, it also can be a criminal misdemeanor. So  
17 it's -- it's an important requirement.

18           There are some exceptions to the meeting and  
19 communication rule that are probably particularly  
20 pertinent to this body. The -- if the individuals of this  
21 body are congregating at a conference that's open to the  
22 public and involves discussion of issues of general  
23 interest to the public, you can certainly be in the same  
24 room at that type of conference. What you can't do at  
25 that conference is have private communication between a

1 majority of Board members about something that -- that is  
2 within the jurisdiction of the Board. But there's no  
3 requirement that you not all be together at a conference.

4           Similarly, there's no requirement that you not  
5 all be together at a social occasion. The Act does not at  
6 all prohibit your individual communications with members  
7 of the public. You're free to do that. That doesn't  
8 violate the requirement that the decisions of this body  
9 need to be made in public.

10           There are exceptions to the rules of Bagley-Keene  
11 for communications with a member of a legislature --  
12 legislative body, such as a legislative committee, so long  
13 as the -- there is no communication about the Board's  
14 position or another member of the Board's position on a  
15 particular matter.

16           You also are not prohibited from communicating  
17 with legislature -- local legislative bodies, even if there  
18 were to be five of you communicating with a local  
19 legislative body on a particular matter.

20           Because of the importance in Bagley-Keene about  
21 the -- of the public being able to attend meetings, there  
22 are special rules about participating in a meeting by  
23 teleconference, and maybe some, or all of you, have dealt  
24 with that rule, tried to participate by teleconference.  
25 If there's a need for you to participate by

1 teleconference, the location from which you participate  
2 must be open to the public, and that location must be  
3 listed on the meeting agenda.

4           So, for instance, if a member were to want to  
5 Skype into a meeting from their -- the den in their  
6 personal residence, unless they want the public to be  
7 invited into their den, that's not going to work.

8           It could work, you know, to participate from your  
9 office at an academic institution, but you would have to  
10 realize if members of the public want to come into your  
11 office, they have to be allowed to do that.

12           As I mentioned at the beginning, the meetings  
13 have to be properly noticed. The meetings require 10-day  
14 notice, and the agenda items have to be provided to the  
15 public in advance of a meeting. That's really a  
16 requirement for the staff to worry about rather than the  
17 individual members. That requirement becomes pertinent  
18 when individual members of a State body bring up new  
19 matters at a meeting and ask that they be discussed. Your  
20 counsel at the meeting will say we can't discuss that at  
21 this meeting. We will have to put it on another agenda,  
22 so...

23           And with respect to public participation in the  
24 meeting, we are aware that this body has had certain rules  
25 about presenting any public comments in writing. And

1 we're taking another look at that, and particularly  
2 because you're considering items such as on the agenda  
3 today relating to AB 617. So you'll be getting further  
4 advice on that. But for the meeting today, there will be  
5 an opportunity for the public to provide comments on that  
6 agenda item.

7 So that's a very brief overview.

8 PANEL MEMBER BLANC: Can I ask a question? If  
9 you're looking at it, and haven't come to a decision, why  
10 wouldn't the status quo hold where we don't build in  
11 public comment, because actually our -- our efficiency  
12 will decline greatly if we are locked into having public  
13 comment at every meeting. We'll be bombarded by certain  
14 sectors in all likelihood --

15 CALEPA DEPUTY SECRETARY TIEDEMANN: Yeah, and --

16 PANEL MEMBER BLANC: -- making public comments.  
17 So why, if you're still looking at it, is there going to  
18 be public comment today?

19 CALEPA DEPUTY SECRETARY TIEDEMANN: Because 617  
20 is really a different beast than what this committee  
21 generally considers, which is, you know, highly technical  
22 scientific information. And as far as today's meeting, we  
23 didn't know whether this room would be packed, or whether  
24 it would be empty. And it looks relatively empty. And  
25 this matter came to our attention last week, and we would

1 just like to be cautious, as far as not allowing public  
2 comment, on something that is quite different than the  
3 previous matters that have been considered by the  
4 committee.

5           And the Air Resources Board lawyers and CalEPA  
6 lawyers are going to take a close look at it. We're aware  
7 of Health and Safety Code sections that provide that the  
8 Chair has discretion to only receive written comments.  
9 We're very aware of the problem. We're aware of your  
10 limited time, and that there may be, you know, a strong  
11 preference not to prolong these meetings with public  
12 comments. But we need to take a legal look at it, because  
13 Bagley-Keene is an important State statute. And we don't  
14 want a State body to be in violation of it.

15           The final answer to that question is, at any  
16 public meeting, the Chair has discretion and they  
17 routinely exercise it to limit public comments. If there  
18 are a lot of people in the audience that want to provide  
19 comments, it's not infrequent a Chair of a State body will  
20 say each person who wants to speak up at the microphone  
21 has one minute to speak, because of time constraints. So  
22 it definitely is not the case that the public comment  
23 requirement -- it does not require that the public  
24 participate in the meetings. That -- they don't do that.  
25 And it doesn't require that members of the public get to



1 talk forever with whatever comments that they have.

2           For instance, I wouldn't contemplate that someone  
3 could get up and read a -- their 300-page scientific  
4 analysis of the work that you've already done. The Chair  
5 would doubtless use his or her discretion and say we're  
6 only allowing two minutes or one minute.

7           PANEL MEMBER BLANC: Let me follow up and then  
8 Stan. So it would be helpful I think if you would state  
9 clearly, in light of what you just said, that the fact  
10 that there's going to be potential comment today, pending  
11 your further analysis, does not set the precedent that  
12 because we're doing it today, therefore it will be de  
13 facto the new norm. I think that would be helpful.

14           CALEPA DEPUTY SECRETARY TIEDEMANN: Yeah, we're  
15 taking a look at it. So, yeah, I -- I wouldn't say today  
16 it's going to be the new norm or it's not going to be the  
17 new norm. But it's -- it's something we're looking at.

18           CHAIRPERSON ANASTASIO: Can I make a comment  
19 first and then Stan. So we've discussed this a little bit  
20 last week. And our hope is that for technical matters  
21 before the Panel that we maintain our previous policy,  
22 which is no public comments orally the day of the meeting,  
23 but instead written comments from the public prior to the  
24 meeting.

25           Because AB 617 is different, right, it's all

1 based on community, there was the thought that there might  
2 be a desire on the community to have input into those  
3 components of the meeting. So we're hoping that we can  
4 have -- maintain the status quo for the technical  
5 documents, but perhaps for 617 allow public comments. And  
6 so the -- Chris and the other lawyers will look into that.

7 Stan, did you have a comment?

8 PANEL MEMBER GLANTZ: Yeah. I mean, I think this  
9 is actually a serious issue, because I think one of the  
10 reasons this committee has worked so well, is that we  
11 haven't taken oral comment at the meetings. I  
12 participate -- I've been public comment for lots of  
13 meetings. I've been on other commissions. And public  
14 comments is fundamentally a political way of  
15 participating. And this is a committee that's focusing on  
16 highly technical matters. And I would even argue that the  
17 AB 617 stuff that we're going to be talking about is  
18 technical.

19 And, you know, I think that -- I mean, it's very  
20 important to me -- I mean, there's two reasons I think  
21 that we shouldn't be taking public comment. One is by  
22 getting the material in writing in advance, you can read  
23 it and think about it before the meeting. And so the  
24 quality of the deliberation is much higher.

25 And I think it's going to be very, very hard to

1 come up with a way where we can say, well, we'll deal with  
2 617 in one way, but every other matter in front of the  
3 committee in another way. And I think it could really  
4 seriously degrade the quality of the work coming out of  
5 the committee.

6           Now having said that, the committee has a  
7 mechanism for taking input from the public, and that is  
8 we've had workshops from time to time, which are not  
9 deliberative meetings. That's where I first met Kathy  
10 Hammond. She showed up at a workshop on diesel long  
11 before she was on this committee. And so I have no  
12 problem with us having workshops on 617. And in the  
13 workshops the committee is there to listen, and people  
14 come and present whatever it is they want to present, and  
15 there's often a discussion. There's no action items  
16 connected with a workshop.

17           And, you know, I think -- I mean, I understand  
18 that the Agency has worked very hard to have strong public  
19 engagement in AB 617 and I approve of that. I think  
20 that's a great thing. But I think we need to be very  
21 careful not to break something that's worked very well.

22           So what I would suggest, if there is a desire  
23 to -- and I know this is a little bit formalistic, but if  
24 there is a desire to have public input on 617, I would  
25 suggest that we suspend the normal SRP meeting -- although

1 we probably can't do that, because --

2 CALEPA DEPUTY SECRETARY TIEDEMANN: No, you  
3 can't.

4 PANEL MEMBER GLANTZ: But I think -- I think -- I  
5 mean, maybe we would have to do this on a one-time basis.  
6 But I think that we ought to just stick with the model we  
7 have, which is that if people -- you know, if there are --  
8 if there is a need -- and the workshops have, in the past,  
9 always been done at the Panel's initiative, where there's  
10 some big issue coming up. Like, we had two or three of  
11 them on diesel, for example, where we just say anybody  
12 who's interested can show up, and then we listen to what  
13 they have to say.

14 And I think that -- there's precedent for that.  
15 That model has worked. It's proven to be very  
16 informative. I know we had one on kind of pesticide  
17 modeling that I remember. So I think that's -- you guys  
18 ought to look at that as the model.

19 CALEPA DEPUTY SECRETARY TIEDEMANN: Well, we'll  
20 take into consideration. Our advice for today is to offer  
21 the opportunity to the public to make comments. And it  
22 may very well be a moot point.

23 PANEL MEMBER GLANTZ: But I think going forward,  
24 using the -- you know, the precedent that we've used to  
25 the -- and the workshops have been very informative

1 actually. But I think that -- because otherwise, I think  
2 you're going to get in a situation where industry, in  
3 terms of dealing with documents like the one before us,  
4 will come in and sandbag us. And it's also -- when you're  
5 dealing with these highly technical matters, I mean, I  
6 just don't think it's reasonable for somebody to come put  
7 a highly technical critique of a document in front of us  
8 in real time and expect intelligent decisions to be made.  
9 There are things you need to look and think about before  
10 you come to the meeting.

11 PANEL MEMBER LANDOLPH: Hi. I'm Joe Landolph.  
12 I'm appointed by the Speaker of the California Assembly,  
13 Mr. Anthony Rendon as his representative to the Panel. So  
14 I assume it's okay if I visit his office to visit his  
15 staff members, or very infrequently him, to discuss  
16 things --

17 CALEPA DEPUTY SECRETARY TIEDEMANN: Yes --

18 PANEL MEMBER LANDOLPH: -- is that correct?

19 CALEPA DEPUTY SECRETARY TIEDEMANN: -- that's  
20 completely

21 PANEL MEMBER LANDOLPH: It's allowed.

22 CALEPA DEPUTY SECRETARY TIEDEMANN: -- Allowed.

23 PANEL MEMBER LANDOLPH: And I don't have to  
24 inform anybody or invite anybody.

25 CALEPA DEPUTY SECRETARY TIEDEMANN: No, you

1 don't.

2 PANEL MEMBER LANDOLPH: Thank you.

3 CHAIRPERSON ANASTASIO: Thank you, Stan, and  
4 thank you, Joe.

5 Any other comments or questions for Chris?

6 All right. Thank you very much, Chris. Look  
7 forward to your future analysis.

8 CALEPA DEPUTY SECRETARY TIEDEMANN: Okay. There  
9 are also two ARB lawyers in the room, and they'll be  
10 providing further advice on this. So you're lawyered up  
11 on it.

12 (Laughter.)

13 CHAIRPERSON ANASTASIO: Always a good feeling.

14 (Laughter.)

15 CHAIRPERSON ANASTASIO: Okay. We're going to  
16 move on to our second agenda item today, which is the HDI  
17 REL. So this is proposed reference exposure levels, or  
18 RELs, from OEHHA for 1,6-hexamethylene diisocyanate, both  
19 the monomer and polyisocyanates. We're going to  
20 abbreviate these as HDI for sanity.

21 These RELs were developed using the risk  
22 assessment methodologies for developing RELs under the Air  
23 Toxic Hot Spots Program. The HDI REL was released for  
24 public review and comment in December 2017. Public  
25 comments were only received from the Aliphatic

1 Diisocyanates Panel of the American Chemical -- sorry, the  
2 American Chemistry Council, also known as ACC. They were  
3 received by OEHHA in February 2018. OEHHA made changes to  
4 their original REL document in response to the ACC  
5 comments. And OEHHA will present those changes today.

6 SRP received a written comment letter dated  
7 February 21st, 2019 from the American Chemistry Council.  
8 And that letter was distributed to the SRP panels -- SRP  
9 members for their review. And copies of those comments  
10 are in the back of the room.

11 The lead Panel members for the HDI REL are Drs.  
12 Blanc and Kleinman. And before we get into that, we're  
13 going to first have a presentation from OEHHA staff on the  
14 HDI RELs document. Then we will have a discussion among  
15 the Panel to provide feedback to OEHHA on the document.  
16 Of course, feel free to ask questions during the  
17 presentation.

18 The SRP draft report, which is dated February  
19 2019, was sent to the Panel for our review and was also  
20 posted on OEHHA's webpage for the public.

21 And then again, a reminder about your microphone,  
22 please make sure you're speaking directly into it and that  
23 it's on. This is for the benefit of Jim, our fantastic  
24 court reporter, as well as for anyone on the webcast.

25 So I'm going to turn the meeting over to John

1 Budroe from OEHHA.

2 John.

3 DR. BUDROE: Good morning. My name is Dr. John  
4 Budroe. I'm Chief of the Air Toxicology and Risk  
5 Assessment Section. And I'd like to present Dr. Daryn  
6 Dodge. He'll be making the presentation on hexamethylene  
7 diisocyanate monomers and polyisocyanates reference  
8 exposure levels document.

9 Dr. Dodge.

10 (Thereupon an overhead presentation was  
11 presented as follows.)

12 DR. DODGE: Thank you, Dr. Budroe.

13 As he mentioned, we -- I have two sets of RELs to  
14 present here, one for HDI and one for HDI polyisocyanates.

15 --o0o--

16 DR. DODGE: So HDI -- this is the monomer  
17 pictured here. HDI and its various forms are mainly used  
18 in automobile spray paints. Auto refinishing facilities  
19 may present near-source exposure risks. HDI monomer is  
20 volatile with a vapor pressure of 0.05 millimeters mercury  
21 at 25 °C.

22 Upon inhalation, the isocyanates groups on the  
23 compound react with lung tissue and macromolecules and can  
24 be absorbed systemically. These compounds are known as  
25 sensitizers, which means with repeated exposure, a worker



1 in the industry can become sensitized, in other words can  
2 develop what's called isocyanate-induced asthma. So upon  
3 ex -- repeated exposure following sensitization, they can  
4 have an asthmatic attack at very low levels, levels  
5 below -- even levels below the occupational standards.

6 And this is due to the presence of two isocyanate  
7 groups -- I should say two or more on the molecule. If  
8 you have something like methyl isocyanate, which is just  
9 one isocyanate group on it, it is not a sensitizers, and  
10 as far as I know, it doesn't produce isocyanate-induced  
11 asthma.

12 --o0o--

13 DR. DODGE: Now, HDI monomer is processed into  
14 higher moleculatate -- molecular weight compounds, known as  
15 prepolymers. And these are a very low vapor pressure. A  
16 mixture of the HDI monomer and prepolymers in paint  
17 formulations are referred to as polyisocyanate mixtures,  
18 or what I'll be calling HDI-based polyisocyanates.

19 These polyisocyanates mixtures are predominantly  
20 one of these two prepolymers that's pictured here, the  
21 isocyanurate or the biuret or a mixture of both. There's  
22 actually very little monomer in the paint formulations.  
23 These are the two most predominant, but there are a number  
24 of others that are based on the HDI monomer.

25 Now, differences in form, respiratory tract

1 deposition and toxicity resulted in separate RELs for HDI  
2 Monomer, and the HDI-based polyisocyanates.

3 --o0o--

4 DR. DODGE: So I'll go onto the RELs for the  
5 monomer. And I'll start with the acute REL derivation  
6 here. Now, there was a lack of sensory irritation data in  
7 humans. That's what we like to work with best, if it's --  
8 if it's there. And for the animal studies, we only had  
9 lethality data. There was no data out there which --  
10 short-term exposures that looked at the most sensitive  
11 indicator of toxicity upon inhalation, which would be  
12 inflammation, irritation of the epithelium in the nasal  
13 region.

14 However, we had a three-weeks exposure study, in  
15 which the lowest concentration of 0.005 parts per million  
16 resulted in squamous metaplasia and goblet cell  
17 hyperplasia in the anterior nose section of rats.

18 There are -- are also some subacute studies, 19  
19 days continuous or 49 days 6-hours per day by Astroff, et  
20 al. at -- were at the lowest concentration. He looked at  
21 0.005 parts per million. He saw no significant nasal  
22 lesions. He saw non-significant nasal lesions, which I'll  
23 talk about later. But at this concentration, he  
24 considered them not significant.

25 PANEL MEMBER GLANTZ: When you use the word

1 "significant", are you talking about biologically  
2 significant or statistically significant?

3 DR. DODGE: I think in his case it was  
4 non-significant statistically.

5 There's also a human study looking at five  
6 subjects around 0.004 parts per million for seven and a  
7 half hours, where there was no change in respiratory  
8 function. However, this study wasn't really set up to  
9 look at the most sensitive indicator of injury, which  
10 would be sensory irritation, so we decided we'd go with  
11 the animal studies.

12 --o0o--

13 DR. DODGE: So from these animal studies, it  
14 suggested there's a threshold LOAEL/NOAEL around part --  
15 around 0.005 parts per million with multiple exposures.

16 So we chose as our point of departure for the  
17 acute REL a single 5-hour exposure to 0.005 parts per  
18 million. At this point of departure we applied a time  
19 adjustment from 5-hours to 1-hour exposure, because our  
20 acute RELs are based on a 1-hour exposure. This employed  
21 a modified version of Haber's Law, which is C to the N  
22 power times T. And in this case we used a default of 3  
23 for the N value. So this is in our guidelines where we --  
24 if we do an adjustment from 5 hours to 1 hour, for  
25 example, from higher exposure, longer exposure to down to

1 1 hour, we use an N of 3.

2 And in this case, when we do this, it assumes  
3 exposure concentration is the main toxicity driver, rather  
4 than exposure duration. Now, if we had any C times T  
5 studies, classic studies, that -- you know, where we could  
6 really define what N was, we would use that. And when we  
7 don't have enough data, we would use an N of 3.

8 --o0o--

9 PANEL MEMBER BLANC: Can I ask a quick question,  
10 which is how would you prefer -- there's certain questions  
11 that I have as primary reviewer that you'll have touched  
12 on or will be touching on. Do you want me to just save  
13 those until we circle back around? What would be most  
14 efficient?

15 DR. DODGE: Did you have any suggestion? I'm  
16 fine however you'd like to do it.

17 CHAIRPERSON ANASTASIO: Yeah. I would suggest if  
18 it's going to be a substantive comment that's going to  
19 lead to a lot of discussion, maybe we save that till the  
20 end.

21 PANEL MEMBER BLANC: Okay.

22 CHAIRPERSON ANASTASIO: Perhaps if it's a  
23 clarifying comment, like Stan's, then we could do --

24 PANEL MEMBER BLANC: No, this might -- it's hard  
25 for me to know, so I'll just hold off.

1 CHAIRPERSON ANASTASIO: Yeah, I think that would  
2 be more efficient.

3 DR. DODGE: Okay.

4 --o0o--

5 DR. DODGE: So we then applied the human  
6 equivalent concentration, or HEC. This is a  
7 pharmacokinetic adjustment from animals to human. And  
8 based on the dosimetry model published by Schroeter et al.  
9 in 2013, we used a HEC value of 1. In this modeling  
10 study, he found that there was a greater wall mass flux to  
11 the anterior nasal region of the rat compared to human,  
12 about 3-fold greater. So this would suggest that  
13 pharmacokinetically, rats are more sensitive than humans  
14 by 3-fold.

15 However, this -- in this model, it was found that  
16 then -- there was then a greater wall mass flux, about  
17 3-fold greater, into airways generations 0 to 5 in humans.  
18 This is the area con -- for -- of concern for asthma, the  
19 bronchial airways. So we considered it a wash at this  
20 point and just chose a 1.

21 --o0o--

22 DR. DODGE: We then applied uncertainty factors  
23 for the interspecies uncertainty factor toxicokinetic  
24 portion. We used a 2, and this is for the residual  
25 toxicokinetic differences not addressed in our dose -- in

1 the dosimetry model by Schroeter et al. The toxicodynamic  
2 portion we applied a root 10, and this is for a lack of  
3 toxicodynamic data.

4 --o0o--

5 DR. DODGE: For the intraspecies uncertainty  
6 factor, we're looking here at the interindividual variance  
7 or differences. The toxicokinetic portion we applied a  
8 root 10. And this is for the 3-fold greater exposure in  
9 mouth breathing versus nasal breathing in humans. This is  
10 also part of Schroeter's modeling study in 2013.

11 And since this is a sensitive airway region in  
12 humans that we're concerned with, we applied the 3-fold  
13 uncertainty factor.

14 For the toxicodynamic portion it's 10. And this  
15 is for the additional susceptibility of children,  
16 particularly those with increased sensitivity --  
17 particularly for children with asthma.

18 --o0o--

19 DR. DODGE: These are all the uncertainty factors  
20 I just spoke about. And the cumulative uncertainty factor  
21 when multiplied together is 200. So we have an adjusted  
22 point of departure of 0.059 milligrams per cubic meter  
23 divided by 200, gave us an acute REL -- or draft acute REL  
24 of 0.0003 milligrams per cubic meter or 0.3 micrograms per  
25 cubic meter.

1                   --o0o--

2           DR. DODGE: Now, I'd like to go on to the 8-hour  
3 chronic RELs. For the derivation here, it was based on  
4 the same study for both of these RELs. There was a long  
5 term rat study available, on which to base a REL. It had  
6 a NOAEL and LOAEL. However, we had three occupational  
7 studies available and two of them were relatively recent.  
8 These were conducted in production plants for HDI and the  
9 prepolymers.

10           The one we chose to base these two RELs on was  
11 Cassidy et al. 2010. In this study, there was no  
12 pulmonary function changes, sensitization or work-related  
13 respiratory problems at time-weighted 8-hour exposure of  
14 0.78 parts per billion. And the reason this study was  
15 superior, it had a large number of workers exposed, 100.  
16 There was a large number of air samples taken over the  
17 duration of the study, which was 13 and a half years.  
18 There was 237 air samples taken. Exposure was determined  
19 when workers were not wearing protective respiratory  
20 equipment, and sample collection was only for the full  
21 shift duration of 6 to 9 hours.

22                   --o0o--

23           DR. DODGE: Now, following public review, we  
24 obtained the individual exposure data from Dr. Cassidy in  
25 order to calculate a distribution. So rather than base

1 the point of departure on a mean, we could look at the  
2 distribution and make a decision here.

3 The limit of detection in the study varied by the  
4 sampling method between 0.025 and 0.4 parts per billion.  
5 And we chose the half -- half the limit of detection, or  
6 LOD, for non-detects, and that was 88 Samples out of 237.

7 The point of departure chosen was the 90th  
8 percentile, which is 1.23 parts per billion.

9 --o0o--

10 DR. DODGE: This concentration is also 8.46  
11 micrograms per cubic meter. And it's what is called a  
12 free-standing NOAEL, meaning from the study itself, there  
13 was only a NOAEL and no LOAEL. Generally, we don't use  
14 these studies, but we do under certain conditions. In  
15 particular, this study was available and very good. And  
16 there was no other worker study available with a LOAEL.

17 We did have the rat study. However, the  
18 dosimetry model by Schroeter suggests, you know,  
19 differences in airway region between rats and humans where  
20 HDI deposits and causes a toxic response. And we decided  
21 that the human data was better for this reason, rather  
22 than rely on the rat data for a chronic and 8-hour REL  
23 derivation.

24 --o0o--

25 DR. DODGE: So to the value of 8.46 micrograms



1 per cubic meter, we applied time adjustments for the  
2 8-hour REL, it was just a 5 day over 7 day adjustment.  
3 And this is because the occupational study was 5 days per  
4 week and the 8-hour REL is based on a 7-day per week  
5 exposure.

6 For the chronic REL, we added to this a 10 cubic  
7 meter over 20 cubic meter factor. And this recognizes  
8 that for occupational exposure 8 hours per day, the worker  
9 breathes about the half -- half the air they're going to  
10 breathe in a day. So that's 10 cubic meters. And for a  
11 full day, they breathe 20 cubic meters, so that resulted  
12 in the 10 over 20 ratio.

13 Applying uncertainty factors, we didn't need a  
14 subchronic uncertainty factor, because the mean worker  
15 exposure in the Cassidy study was 13.5 years. No  
16 interspecies uncertainty factor is needed. It's a human  
17 study, so no extrapolation from a rodent to human.

18 --o0o--

19 DR. DODGE: Intraspecies, the Toxicokinetic  
20 portion, we used a 10. And this is for the toxicogenomic  
21 variability. A number of gene variants found associated  
22 with increased sensitivity in isocyanate-induced asthma.  
23 So this resulted in odds ratios that got as high as 10, or  
24 close to 10, so that was the reason we used that.

25 The intraspecies toxicodynamic portion is also

1 for the toxicogenomic variability, but also because  
2 increased sensitivity of children with asthma.

3 Now, the use of these uncertainty factors for  
4 both the toxicokinetic and toxicodynamic portions, we used  
5 for two other RELs that we brought before the Panel 2 to 3  
6 years ago. This is for toluene diisocyanate and methylene  
7 diphenyl diisocyanate. So many of the -- some of the  
8 Panel members are probably familiar with this.

9 --o0o--

10 DR. DODGE: The combined uncertainty factor when  
11 multiplied altogether, the -- well, the cumulative  
12 uncertainty factor is 100, when applied to the  
13 time-adjusted point of departures, resulted in 8-hour and  
14 chronic RELs of 0.06 and 0.03 micrograms per cubic meter.

15 --o0o--

16 DR. DODGE: So now I'll speak about the RELs  
17 developed for the HDI-based polyisocyanates. So why a  
18 different set or RELs?

19 This is because the polyisocyanate mixtures are  
20 predominantly solid or particle, so when sprayed they're  
21 in aerosol form. The HDI monomer is a gas. In the  
22 pulmonary region where they have their toxic effect is  
23 different. For the HDI-based polyisocyanate, this is the  
24 pulmonary region is the most sensitive. For HDI monomer,  
25 which I just talked about, that's the nasal/bronchi

1 region.

2 Now, the RELs for the poly -- HDI-based  
3 polyisocyanates are for -- you know, the exposure is --  
4 it's set up for HDI monomer content of two percent or  
5 less. And this is because of a study by Pauluhn who has  
6 done quite a bit of research on the diisocyanates, not  
7 HDI, but TDI and MDI as well. In this study, he looked at  
8 polyisocyanate mixtures, which were the same, except one  
9 had 0.1 percent HDI and the other had 2 percent HDI.

10 They exposed rodents in an acute study and  
11 found -- I believe it was an acute study or subacute, he  
12 found that there was no difference in toxicity, whether  
13 the HDI content was 1 -- 0.1 percent or 2 percent.

14 So what we suggest here is that the  
15 polyisocyanate RELs can be used for mixtures that have 2  
16 percent or less HDI content. But for those that are above  
17 2 percent, we suggest that the HDI mon -- the HDI RELs for  
18 the monomer be used, at least for that portion that is the  
19 monomer, and the remainder, which would be the predominant  
20 amount, would be the -- use the polyisocyanate RELs.

21 --o0o--

22 DR. DODGE: So I'll start off with the acute REL  
23 derivation. As I indicated, the sensitive region is the  
24 pulmonary region. And the most sensitive indicator of  
25 that is increased total protein and bronchoalveolar lavage

1 fluid, or BALF, in rats. And this is from edema fluid  
2 leaking into the small airways and alveoli. And, you  
3 know, what -- and what it brings with it is the protein  
4 and that's what's being measured.

5 A couple of researchers, including Pauluhn,  
6 measured thresholds for this increased protein. In a  
7 number of HDI polyisocyanates, or prepolymers. And that's  
8 what is the -- is in the table here. So the threshold for  
9 this increase, which you might picture as like a  
10 NOAEL/LOAEL threshold was pretty close together. It  
11 wasn't a large range. It ranged from point -- 4.1 to 1.1  
12 milligrams per cubic meter.

13 --o0o--

14 DR. DODGE: So the threshold or the compound we  
15 chose to base the acute REL on was for the -- let me go  
16 back -- was for the HDI prepolymer 1 there, which is in  
17 bold. That's the lowest threshold of the ones in this  
18 table. That is actually predominantly the isocyanurate in  
19 that compound.

20 Now, the author's identified the threshold as the  
21 intercept of the concentration effect curve with a line  
22 parallel to the X axis at Y equals 1 plus 2 times the  
23 standard deviation. So that's at 2 times the standard  
24 deviation of the control value.

25 Again, the point of departure is 1.1. And we

1 applied Haber's Law -- modified Haber's Law to adjust it  
2 from a 6-hour exposure to 1-hour exposure, again, using an  
3 N of 3. So this went from 1.1 milligrams per cubic meter  
4 to 2 with this adjustment. We then applied the human  
5 equivalency concentration adjustment, or HEC as I  
6 mentioned earlier. This was based on the regional  
7 deposited dose ratio using the MPPD modeling for  
8 fractional deposition.

9 So this MPPD model, multiple particle dosimetry,  
10 this does the fractional deposition of three main regions  
11 in the lung, the nasal, bronchi/bronchial region, and the  
12 pulmonary region. And the resulting ratio, when plugged  
13 into the RDDR ratio equation gave us 0.45, suggesting that  
14 at least for the pulmonary region, the toxicokinetically  
15 humans are more sensitive than the rats. So 0.45 times 2  
16 gave us an adjusted point of departure of 0.9 milligrams  
17 per cubic meter.

18 --o0o--

19 DR. DODGE: Applying uncertainty factors, the  
20 interspecies toxicokinetic uncertainty factor is a 2 for  
21 residual toxicokinetic differences not addressed by the  
22 RDDR and MPPD models. Toxicodynamic uncertainty factor  
23 root 10 for lack of toxicodynamic data.

24 --o0o--

25 DR. DODGE: Intraspecies uncertainty factors,

1 toxicokinetic portion was root 10. This is for the  
2 relative pulmonary minute volume to surface area ratio  
3 being 3-fold greater in infants compared to adults.

4 The toxicodynamic is a 10 to address the  
5 toxicodynamic diversity in the human population, including  
6 sensitive populations such as children with asthma.

7 --o0o--

8 DR. DODGE: These are the uncertainty factors --  
9 the cumulative uncertainty factor is 200. So the adjusted  
10 point of departure of 0.9 divided by 200 gave us a draft  
11 acute REL of 0.0045 -- 0.0045 milligrams per cubic meter.

12 --o0o--

13 DR. DODGE: I'll now talk about the derivation of  
14 RELs for the 8-hour and chronic. And this is based on the  
15 same study. It was based on a study by Pauluhn and Mohr,  
16 2001, a 13-week study in rats. There is no long-term  
17 studies or chronic studies in rodents, so we relied on a  
18 13-week study in the rats.

19 And in the table here is the data we used. We  
20 couldn't use benchmark dose modeling, because it was  
21 essentially an all-or-nothing effect here. At 3  
22 milligrams per cubic meter, it was hard to determine if  
23 there was really a response there or not. However, at the  
24 next higher concentration of 25 milligrams per cubic  
25 meter, there was a pretty strong response for practically

1 all the endpoints -- pulmonary endpoints that they looked  
2 at. So we chose, as our point of departure, 3 milligrams  
3 per cubic meter.

4 --o0o--

5 DR. DODGE: The time adjustment to the POD, this  
6 was 6 hours over 24 hours times 5 days or 7 days times 20  
7 over 10 cubic meters. This is for the 8-hour REL.

8 And for the chronic, it was just 6 hours over 24  
9 hours times 5 days over 7 days, which converted it to an  
10 annualized concentration, in other words a continuous type  
11 of exposure.

12 We applied the human equivalency concentration.  
13 This was -- this -- we also employed the RDD[SIC] model --  
14 or modeling here or equation using the MPPD model. We  
15 combined males and female rats in this study, because they  
16 had the same toxic response. And the ratio we got was  
17 0.84, which multiplied by the time-adjusted values gave a  
18 8-hour value of 0.9 and a chronic of 0.45 milligrams per  
19 cubic meter.

20 --o0o--

21 DR. DODGE: Now applying the uncertainty factors.  
22 The subchronic uncertainty factor we applied was 2. And  
23 this is because of data also by Pauluhn over -- with a  
24 number of -- a number of studies, where we found that  
25 acute, subacute exposures, 13-week exposure, there did not

1 appear to be a progression of pulmonary injury, at least  
2 over this exposure duration. So in this case, we used a  
3 lower uncertainty factor. Rather than a root 10 we used a  
4 2.

5 For interspecies uncertain factors, we used a 2  
6 for the toxicokinetic portion for the residual  
7 toxicokinetic differences not addressed by the various  
8 models here, MPPD and RDDR. Toxicodynamic portion was  
9 root 10 for lack of toxicodynamic data.

10 --o0o--

11 DR. DODGE: For the intraspecies or  
12 interindividual variation among the human population, we  
13 used a 10 for the toxicokinetic portion. And this was  
14 again for the toxicogenomic variation or variability.  
15 Intraspecies toxicodynamic was also a 10. And this is for  
16 increased sensitivity in children with asthma, as well as  
17 the toxicogenomic variation.

18 --o0o--

19 DR. DODGE: Here are all the uncertainty factors  
20 on the same slide, which accumulatively came to 1,200. So  
21 divided by our point of departure -- or our point of  
22 departure -- adjusted point of departure divided by 1,200,  
23 I should say, resulted in 8-hour and chronic RELs of 0.8  
24 and 0.4 micrograms per cubic meter.

25 --o0o--



1 DR. DODGE: In summary, these are the proposed  
2 RELs for both the monomer and HDI polyisocyanates.

3 Dr. Anastasio, I'm done with the presentation  
4 here.

5 CHAIRPERSON ANASTASIO: Well, we could either go  
6 through your response to the ACC comments now and finish  
7 the document or we could go to Panel, whatever you prefer.

8 DR. BUDROE: We usually leave that up to the  
9 judgment of the Chair, so...

10 CHAIRPERSON ANASTASIO: Perfect. Why don't you  
11 finish your presentation then. And then we'll have a full  
12 Panel discussion on everything at the end.

13 --o0o--

14 DR. DODGE: Okay. So we're going to on to the  
15 response to comments?

16 CHAIRPERSON ANASTASIO: Yes, please.

17 DR. DODGE: Okay. So during the comment  
18 period -- public comment period last year, or actually it  
19 started in December 2017, OEHHA received comments from the  
20 American Chemistry Council of the Aliphatic Diisocyanates  
21 Panel. We also received comments on February 21st of this  
22 year from the ACC. We'll be also addressing those at the  
23 end.

24 --o0o--

25 DR. DODGE: So back to the public comment period

1 from last year. The first comment we had was that HDI and  
2 HDI polyisocyanates are manufactured in closed systems.  
3 Releases to the environment are expected to be very low.  
4 And any that are released will react in the environment to  
5 produce biologically inert polyureas.

6 Our response was that we are concerned with the  
7 use of HDI-based spray points, in addition to the  
8 manufacture of the com -- of the products. California may  
9 have up to 4,200 facilities and 15,000 spray painters that  
10 use HDI paints. So OEHHA believes the potential exists  
11 for exposure to the general public.

12 --o0o--

13 DR. DODGE: The next comment, comment number 2,  
14 by the ACC. The EPA school monitoring project failed to  
15 detect any diisocyanates, including HDI in any sample.  
16 Therefore, diisocyanates should not be an air pollutant of  
17 concern.

18 Our response is that of the 62 schools examined  
19 in the 20 -- in the 2009-2010 EPA study, only 6 were near  
20 facilities that could potentially have resultant exposure  
21 to diisocyanates. Only two of these schools may have been  
22 potentially exposed to HDI mon -- HDI. In their study --  
23 in the EPA study, no diisocyanates were detected at these  
24 6 schools.

25 --o0o--

1 DR. DODGE: And going on response 2 continued.  
2 The U.S. EPA suggested that the lack of findings was due  
3 to a number of reasons: Overreporting of potential  
4 diisocyanate emissions; significant reductions in  
5 emissions by the time of the study; facility emissions  
6 below the detection limit; and facility operations below  
7 100 percent of capacity at the time of the study.

8 Now, we additionally note that the distance from  
9 the source to the school was 0.6 to 1.5 miles. And there  
10 was no near-source or fence-line monitoring. So the  
11 monitors at the schools may have been too far away to  
12 detect any diisocyanates.

13 --o0o--

14 DR. DODGE: Our response continued. In summary,  
15 the U.S. EPA School Monitoring Project data were  
16 inadequate to support a conclusion that diisocyanates are  
17 not an air pollutant of concern for the general  
18 population.

19 --o0o--

20 DR. DODGE: Comment number 3. In the 3-week  
21 study by Shiotsuka that we used to base the acute REL on,  
22 for HDI monomer, the subtle adaptive nasal epithelial  
23 responses to injury that occurred at 0.005 parts per  
24 million are not considered an adverse effect. The NOAEL  
25 of the study was 0.0175 parts per million.

1           In addition they note that the Astroff short-term  
2 studies observed a NOAEL of 0.005 parts per million.

3           They summed up by saying OEHHA should use 0.0175  
4 parts per million not 0.005 parts per million as the NOAEL  
5 for their acute REL derivation or HDI monomer. Use of  
6 0.005 parts per million as a NOAEL is overly conservative.

7                           --o0o--

8           DR. DODGE: Our response is that OEHHA considers  
9 cellular responses such as by irritant -- caused by an  
10 irritant action of a known chemical irritant to be --  
11 irritant to be an adverse acute effect. The types of  
12 nasal epithelial changes including squamous metaplasia,  
13 epithelial hyperplasia, and goblet cell hyperplasia  
14 observed at 0.005 parts per million by Shiotsuka et al.  
15 were considered to be adverse effects, and were used in  
16 the REL derivation for acrolein.

17           The Astroff studies considered acanthosis and  
18 inflammation to be non-significant at 0.005 parts per  
19 million, but were clearly significant at the next higher  
20 level of 0.05 parts per million.

21           These studies suggest that the acute exposure to  
22 0.005 parts per million is a near threshold response, at  
23 least for a single exposure. So using a single 5-hour  
24 exposure to this concentration from the 3-week study by  
25 Shiotsuka is a -- not overly conservative.

1                   --o0o--

2           DR. DODGE: Comment number 4. For time  
3 extrapolation from 6-hour exposure to 1-hour exposure in  
4 the acute REL -- REL derivation, a value of N equal 1  
5 should be used for Haber's Law, not N equal 3 as OEHHA  
6 used. OEHHA cited support for N equal 1 for HDI poly --  
7 polyisocyanates in the study by Pauluhn et al. 2002.  
8 Recalculation using N equal 3 result in acute REL of 14  
9 micrograms per cubic meter not 4.5.

10                   --o0o--

11           DR. DODGE: Or response is that OEHHA uses a  
12 health protective default value of N equal 3 in Haber's  
13 Law when there are no C time T studies conducted for the  
14 particular compound. A value of N equal 1 was used in the  
15 derivation of another isocyanate called PMDI or polymeric  
16 methylene diphenyl diisocyanate, in which a classic C  
17 times T study was conducted by Pauluhn in 2002.

18           The HDI polyisocyanate study by Pauluhn 2015  
19 varied T. So it was -- it's not a true C times T study.  
20 OEHHA reassessed the use of an N equal in the HDI monomer  
21 at this point, and the REL derivation presented in the  
22 public review draft document, we decided it could not be  
23 assumed that HDI monomer would have the same Haber's Law  
24 characteristics as PMDI, so the REL derivation was revised  
25 using N equal 3.

1                   --o0o--

2           DR. DODGE:  The fifth comment by the ACC.  OEHHA  
3 based 8-hour and chronic RELs on the Cassidy occupational  
4 study in which the time-weighted average concentration was  
5 0.78 parts per billion was used as the point of departure.  
6 Now, I should note that in the public review version of  
7 our document, we did use the mean.  And then following  
8 public review, Dr. Cassidy sent the individual data from  
9 which we then used the 90th percent from the distribution  
10 as the point of departure.

11           In any case, going on with the comment.  OEHHA  
12 should base the RELs on the occupational threshold limit  
13 value time-weighted average concentration of 0.005 parts  
14 per million.

15           Going on with their comment.  None of the workers  
16 exposed in the Cassidy study showed an accelerated decline  
17 of FEV1, or forced expiratory volume of 1 second, or  
18 developed occupational asthma.  The author stated that  
19 their study supports the time -- the threshold limit value  
20 time-weighted average of 0.005.  A use of 0.005 or 5 parts  
21 per billion as the point of departure would result in an  
22 8-hour and chronic REL values of 0.036 and 0.018 parts per  
23 billion respectively.

24                   --o0o--

25           DR. DODGE:  Our response is that there are no

1 peer-reviewed human exposure studies to derive the  
2 threshold limit value of 5 parts per billion. That  
3 occupational exposure value appears to be supported only  
4 by anecdotal evidence. OEHHA does not use this type of  
5 data for REL derivation. OEHHA based the 8-hour and  
6 chronic RELs on a peer-reviewed occupational study that  
7 observed no pulmonary function deficits or other pulmonary  
8 problems in 100 workers exposed to a mean concentration of  
9 0.78 parts per billion of HDI monomer.

10 And since public review, OEHHA received the  
11 individual exposure date from Dr. Cassidy where we then  
12 used the 90th percentile of the distribution as the point  
13 of departure.

14 --o0o--

15 DR. DODGE: Comment number 6. There are errors  
16 in the 8-hour REL calculation for HDI polyisocyanates.  
17 The time-adjusted worker exposure should be 6 hours over 8  
18 hours, not 6 hours over 24 hours, as OEHHA has done.

19 A subchronic uncertainty factor of 2 was used by  
20 OEHHA. An uncertainty factor of 1 should be used, since  
21 the subchronic uncertainty factor only applies to the  
22 chronic REL and not the 8-hour REL derivation.

23 --o0o--

24 DR. DODGE: Our response, number 6 -- to number  
25 6. There was no error in our calculation. The 6 hours

1 over 24 hours times 5 over 7 days time adjustment first  
2 converts to an annualized average concentration, just as  
3 in calculating the chronic REL. We then apply a 20 over  
4 10 cubic meter adjustment. And this is because half the  
5 air breathed in a day by a worker occurs during that  
6 active 8-hour work period.

7           The subchronic uncertainty factor can be applied  
8 to the 8-hour RELs. OEHHA guidelines -- non-cancer  
9 guidelines specify that we can use the chronic REL  
10 derivation methodology if long term intermittent 8-hour  
11 exposures result in cumulative injury, similar to --  
12 similar to a chronic exposure.

13                           --o0o--

14           DR. DODGE: Comment number 7. The interspecies  
15 toxicodynamic uncertainty factor of 3 for many of the RELs  
16 is too conservative and should be a 1. The uncertainty  
17 factor is not needed for direct acting irritants on nasal  
18 epithelium such as HDI. They have no systemic effects.

19           And our response is that our guidelines state  
20 that a default interspecies toxicodynamic uncertainty  
21 factor root 10 is applied to account for the use of key  
22 studies employing non-primate species and the lack of data  
23 for toxicodynamic interspecies differences.

24           In addition, HDI glutathione adducts have been  
25 found in the bloodstream following inhalation of HDI,



1 which can then conjugate to proteins distant from the  
2 lung. It's unknown if these adducts have a toxic action  
3 in the body, but it would be wrong to assume no systemic  
4 action from these reactive HDI adducts.

5 --o0o--

6 DR. DODGE: Comment number 8 was that an  
7 intraspecies toxicokinetic uncertainty factor of 10 for  
8 genotypic variations possibly involved in the development  
9 of isocyanate-induced asthma is not relevant and an  
10 uncertainty factor of 1 should be used.

11 HDI monomer and polyisocyanates are direct acting  
12 and too reactive to have a role in genotypic variation in  
13 GSTs. This refers to glutathione S-transferases for  
14 development of isocyanate-induced asthma. Glutathione  
15 S-transferases are not required for the reaction of  
16 isocyanates with glutathione.

17 --o0o--

18 DR. DODGE: Our response was that genetically-  
19 based individual variations in the GST enzyme activities  
20 are possible modifiers of susceptibility isocyanate-  
21 induced asthma. Individual capability to tolerate  
22 oxidative stress varies possibly due to genetic factors.  
23 Enzymes of the GST supergene family utilize a wide  
24 variety of products of oxidative stress as substrates and  
25 are thus critical in the protection of cells from reactive

1 oxygen species, otherwise known as ROS.

2           Exposure to isocyanates causes respiratory  
3 symptoms characterized by airway inflammation,  
4 eosinophilia, and local formation of ROS. Isocyanates can  
5 react with proteins such as albumin to form protein  
6 conjugates. The protein conjugates can be immunogenic,  
7 and the formation of the hapten complexes can give rise to  
8 immunological reactions. In the presence of decreased GSH  
9 conjugation related to deficient GST genes, impaired  
10 immune response may occur.

11                           --o0o--

12           DR. DODGE: Comment number 9. An intraspecies  
13 toxicodynamic uncertainty factor of 10 to protect  
14 sensitive age groups, such as children, is not supported  
15 by scientific evidence.

16           Childhood asthma is a T2[SIC] driven process,  
17 while diisocyanate-induced asthma is a T1 -- Th1 driven  
18 process. Thus, if the Th2 pathway predominates in early  
19 life, while the Th1 is less well developed, children will  
20 be less sensitive not more sensitive to development of  
21 diisocyanate asthma, because it is primarily a Th1 driven  
22 pathway in humans.

23                           --o0o--

24           DR. DODGE: OEHHA response. It is unknown how  
25 children will react to HDI monomer and prepolymer exposure

1 early in life when the immune system is still developing.  
2 The development of asthma from exposure to isocyanates is  
3 multifactorial. And it is not well understood what the  
4 mechanism of isocyanate-induced asthma is in adults, much  
5 less children.

6           Uncertainty factors are assigned based on data  
7 gaps. And the lack of knowledge recording the relative  
8 susceptibility of infants and children compared to adults  
9 represents a substantial data gap.

10                   --o0o--

11           DR. DODGE: Now, the final comment here. RELs  
12 for HDI monomers and pre -- polyisocyanates is  
13 unnecessary, lacks scientific basis, and should be  
14 withdrawn.

15           Since potential exposures to HDI are primarily  
16 limited to occupational settings and not the general  
17 public, development of RELs for HDI to protect the general  
18 public is unnecessary use of OEHHA resources without  
19 commensurate public health benefit.

20                   --o0o--

21           DR. DODGE: Our response is that exposure to very  
22 low levels on the order of micrograms per cubic meter of  
23 HDI monomer and polyisocyanates may result in an adverse  
24 acute and chronic responses, particularly in sensitive  
25 individual.

1           As many as 4,200 facilities in California may be  
2 using HDI-based polyisocyanates in spray painting  
3 operations or other -- or for some other purpose. The  
4 high potency plus widespread use of these compounds  
5 supports the development of RELs for HDI monomer and  
6 polyisocyanates.

7                           --o0o--

8           DR. DODGE: So that was it for the public review  
9 comments. We received, or the Panel received, comments  
10 about a week ago or so from the ACC. And now we'll  
11 address those comments, if you'd like.

12           CHAIRPERSON ANASTASIO: (Nods head.)

13           DR. DODGE: Okay.

14                           --o0o--

15           DR. DODGE: Comment number 1, part 1. Squamous  
16 metaplasia and goblet cell hyperplasia observed at 0.005  
17 parts per million in the 3-week study are subtle adaptive  
18 responses to injury and should not be considered an  
19 adverse effect.

20           Use of the a single 5-hour exposure to 0.0175  
21 parts per million, the next higher dose, as the point of  
22 departure for REL -- for the acute REL, not 0.005 parts  
23 per million.

24           Our response is that OEHHA had responded to a  
25 similar comment during the public review. The epithelial

1 changes at 0.005 parts per million resulted from a 3-week  
2 exposure, are not considered adverse effects by OEHHA,  
3 based on the 3-week -- are -- okay. Let me rephrase that.

4           The epithelial changes at 0.005 parts per million  
5 resulted from a 3-week exposure are considered adverse  
6 effects by OEHHA. Based on the 3-week study findings plus  
7 the supporting studies by Astroff et al., OEHHA does not  
8 consider the use of a single 5-hour exposure to 0.005  
9 parts per million for a point of departure to be overly  
10 conservative.

11                   --o0o--

12           DR. DODGE: The second part of this comment was  
13 that we considered the 1-week rat study by Kopf et al.  
14 2015 to be a more appropriate study to base the acute REL  
15 on. In the study, exposure was 6 hours per day, 5 days --  
16 for 5 days to 0.07, 0.027, 0.1, 0.46, and 1.97 parts per  
17 million. Exposure to 0.1 parts per million displayed  
18 minimal, if any, changes in lung function and  
19 histopathology.

20           Study authors concluded 0.1 parts per million was  
21 a borderline NOAEL for upper respiratory tract changes --  
22 or effects, and would suggest that 0.027 parts per million  
23 as the NOAEL for the acute REL derivation.

24                   --o0o--

25           DR. DODGE: Our response is that the Kopf study

1 is an unpublished study -- pilot study by Bayer Pharma AG.  
2 We were not aware of this study because of that. OEHHA  
3 does not generally use these types of non-peer reviewed  
4 studies unless it is of adequate quality and the  
5 methodology is sufficiently described.

6 Moderate to severe signs of respiratory distress  
7 was observed at 0.46 and 1.97 parts per million during the  
8 exposure. At 0.1 part per million, there was borderline  
9 neurodegeneration, inflammation, and subepithelial edema  
10 in nasal epithelium.

11 Also, borderline reflexively-induced brady -- I'm  
12 not sure how to pronounce that. Dr. Blanc, how do you --  
13 brady --

14 PANEL MEMBER BLANC: What is it?

15 DR. DODGE: Bradypnea.

16 PANEL MEMBER BLANC: I think they mean slow  
17 breathing.

18 DR. DODGE: Yes, slow breathing. That would be  
19 a -- that's a better way to pronounce that.

20 PANEL MEMBER BLANC: I've never seen that term  
21 used in a clinical sense.

22 DR. DODGE: Okay. Well, I'll call it slow  
23 breathing. No apparent histopatholo -- pathological or  
24 respiratory rate change occurred at 0.027 parts per  
25 million.

1                   --o0o--

2           DR. DODGE: Continuing on, our response is that  
3 the Kopf study lacks some key details, such as figures,  
4 tables, and quantitative measurements. The lung function  
5 measurements were not well described. Statistical  
6 significance of endpoints were not presented. Sacrifice  
7 occurred at 3 days after last exposure. There may be  
8 enough recovery by that time to miss some adverse effects  
9 at lower doses.

10           Given these study deficiencies and lack of peer  
11 review, OEHHA believes that the Shiotsuka et al. 2006  
12 study is a more appropriate basis for the development of a  
13 HDI monomer acute REL.

14                   --o0o--

15           DR. DODGE: The second comment. Following public  
16 review, OEHHA revised the time duration adjustment in  
17 Haber's Law equation from N equal 1 to N -- to a default  
18 of N equal 3 lacking cite of evidence -- lack of -- citing  
19 a lack of evidence.

20           It is incorrect to say there are no supporting C  
21 times T studies supporting an N of 1. C times T protocols  
22 in studies for TDI and HDI support an N of 1. Haber's Law  
23 does not apply to trigeminal irritation effects. Lack of  
24 signs of respiratory tract irritation in the form of  
25 breathing rate changes support using Kopf for acute REL

1 derivation.

2 --o0o--

3 DR. DODGE: Our response to the comment was that  
4 OEHHA had responded to similar -- responded to a similar  
5 comment during the public review. OEHHA decided there was  
6 not enough evidence to use an N equal 1 for Haber's Law  
7 equation. We could only find a classic C times T study  
8 for polymeric MDI in which N did calculate to 1.

9 The Pauluhn studies of 2014 and 2015 were not  
10 true C times T studies. In these studies, C was held  
11 constant while T varied. The Pauluhn studies were  
12 designed to develop a rat model for sensitization using  
13 high concentrations of HDI and TDI to overcome scrubbing  
14 action of the nasal airways, so enough of the chemical  
15 could reach bronchial airways. The HDI acute REL is based  
16 on low doses affecting the nasal tissue. So there's  
17 differences in endpoints here.

18 --o0o--

19 DR. DODGE: Our response continued. OEHHA does  
20 not uses Haber's Law for trigeminally stimulated eye,  
21 nose, and respiratory air irritation, but this is observed  
22 primarily in -- only primarily in human sensory irritation  
23 studies.

24 A reflex depression of breathing, or slowing of  
25 breathing, in mice and rats may be trigeminally mediated.



1 Although, OEHHA generally relies on human data for sensory  
2 irritation.

3 --o0o--

4 DR. DODGE: Continuing on with response number 2.  
5 Kopf study says borderline decrease in respiratory rate  
6 occurred at 0.1 parts per million. However, there is a  
7 study by Sangha et al. 1981 that observed 20 to 30 percent  
8 reduction in the respiratory rate in mice exposed to 0.062  
9 parts per million. So it was at a lower concentration  
10 than 0.1.

11 As discussed above, the Shiotsuka study is a more  
12 appropriate basis for the development of an HDI monomer  
13 acute REL.

14 --o0o--

15 DR. DODGE: And comment number 3. The subchronic  
16 uncertainty factor that OEHHA applied to the HDI  
17 polyisocyanate 8-hour REL is unnecessary -- unnecessary,  
18 based on OEHHA's own guidance.

19 Table 4.4.1 shows that the subchronic uncertainty  
20 factor is only used for chronic REL derivation, not for  
21 8-hour RELs. OEHHA should not use a subchronic  
22 uncertainty factor of 2.

23 --o0o--

24 DR. DODGE: In our response -- for our response,  
25 we note that the 8-hour REL for HDI polyisocyanates is

1 similar to a chronic REL in that both are to protect  
2 humans from long-term exposures to toxicants.  
3 Additionally, our guidelines say that the 8-hour REL  
4 derivation may use an acute REL derivation methodology or  
5 a chronic REL derivation methodology, depending on the  
6 nature of the toxic response to the substance.

7 For HDI polyisocyanates, successive daily  
8 exposures can result in sensitization and occupational  
9 asthma, decreased lung function, and fibrosis. Therefore,  
10 we use the chronic REL for derivation methodology.

11 --o0o--

12 DR. DODGE: Comment number 4, part 1. Again,  
13 this is regarding the acute HDI monomer REL. The comment  
14 was that the HDI monomer acute REL is unnecessarily overly  
15 conservative. The TDI acute REL is 3-fold lower than the  
16 NOAEL upon which the 8-hour and chronic RELs were based  
17 on. The HDI acute REL is more than a 100-fold lower than  
18 the study relied on for the 8-hour and chronic RELs.

19 --o0o--

20 DR. DODGE: Our response to this comment is that  
21 (Thereupon feedback in the sound system.)  
22 (Thereupon a discussion occurred off the record.)

23 CHAIRPERSON ANASTASIO: Since we're at a natural  
24 break here, why don't we take advantage of that and  
25 reconvene. And then we'll finish through there and then

1 we'll get to the Panel comments. We'll plan for a  
2 5-minute break and make sure everything is working. At  
3 that point, we'll continue.

4 (Off record: 11:04 a.m.)

5 (Thereupon a recess was taken.)

6 (On record: 11:14 a.m.)

7 CHAIRPERSON ANASTASIO: Yeah. Okay. So we're  
8 getting a message from the IT guy that it looks like we're  
9 back on air and ready to go. So Daryn, please continue.

10 --o0o--

11 DR. DODGE: Okay. We're going to be finishing up  
12 here. This is the final comment divided into three parts.

13 To get back to the comment here, number 4, part  
14 one 1. The reason they thought it was overly conservative  
15 is because for the TDI acute REL that was developed 2 or 3  
16 years ago by OEHHA, the NOAEL upon which the -- well,  
17 there was a 3-fold lower -- let me see. The acute REL is  
18 3-fold lower than the NOAEL upon which the 8-hour and  
19 chronic RELs are based on.

20 But for the HDI acute REL, it's more than  
21 100-fold lower than the study relied on for the 8-hour and  
22 chronic RELs.

23 --o0o--

24 DR. DODGE: Our response was that HDI acute  
25 REL -- draft REL is 0.04 parts per billion, based on an

1 animal study. The 8-hour and chronic point of departure  
2 is based on a human study that had a free-standing NOAEL  
3 of 1.23 parts per billion. And the difference is about  
4 31-fold rather than 100-fold, as indicated. However, the  
5 differences in species, chemicals, study design, endpoint,  
6 et cetera could result in significant differences between  
7 an acute REL and the 8-hour and chronic point of  
8 departure. What really matters most is are the final REL  
9 values for the 8-hour and chronic RELs, once uncertainty  
10 factors and exposure time adjustments are taken into  
11 account.

12 --o0o--

13 DR. DODGE: Comment number 4, part 2. The HDI  
14 monomer acute REL is again unnecessarily overly  
15 conservative. OEHHA derived a comparison acute REL for  
16 TDI based on the human worker exposure level derived by  
17 Pauluhn 2014 for respiratory tract irritation and  
18 prevention of sensitization. Deriving acute REL using the  
19 same methodology by Pauluhn would result in acute REL of  
20 0.015 milligrams per cubic meter.

21 --o0o--

22 DR. DODGE: Our response is that the Pauluhn rat  
23 model for an irritation sensitization threshold for TDI  
24 and HDI, done by Pauluhn in 2014 and 2015 respectively, is  
25 more -- is actually more appropriate for setting an 8-hour



1 This would suggest the acute REL is overly conservative.

2 --o0o--

3 DR. DODGE: Our response is that Brorson et al.  
4 was primarily a metabolism study and was not designed to  
5 carefully evaluate and assess the human subjects for  
6 sensory irritation. Brorson et al. did not include  
7 methodology to assess sensory irritation, and did not  
8 include multiple exposure levels, making it less suitable  
9 as the basis of an acute REL compared to the rat study  
10 that we used.

11 --o0o--

12 DR. DODGE: And that concludes the comments.

13 CHAIRPERSON ANASTASIO: Great. Thank you very  
14 much, Daryn. Are there any clarifying questions before we  
15 move on to Panel substantive discussion?

16 Okay. Let's move on then. So, Paul, would you  
17 like to start as the first lead?

18 PANEL MEMBER BLANC: Okay. So I think it's  
19 exhaustive, perhaps even exhausting, document that I know  
20 you've been working on for a long time.

21 Can I ask the -- since there was an initial  
22 release more than a year ago, it would help the Panel to  
23 know what the impediments were that made it have to take  
24 as long as it did? Was it the nature of your responding  
25 to the initial public comments, including having to obtain

1 from Cassidy the detailed data and all of that, just for  
2 our edification?

3 DR. BUDROE: Chlorpyrifos.

4 Chlorpyrifos.

5 PANEL MEMBER BLANC: Well, you just answered it.  
6 Okay.

7 So -- and I think it's good that there's a big  
8 piece of this that's driven by human data. I think that's  
9 always great when you can do it. And the fact that you  
10 were able to go back and get those data is very -- very  
11 good. And also, it really is quite a morass when you have  
12 to go into the health effects of the short-chain  
13 polymers -- prepolymers, as they're called, because  
14 it's -- the literature is so terrible and there's almost  
15 no specific data.

16 So I think given the limitations of the data, I  
17 don't know how you could do much more than what you did.  
18 I do have some questions and some general comments for you  
19 to take with the spirit that they're given.

20 The first, and perhaps the most important, is a  
21 confusion that I had. And I don't know if it comes from  
22 my own read or if there's some way of making it less so in  
23 the document. And that is that the study that's used for  
24 the acute REL, the 2006 publication, which is a pretty  
25 brief paper, I couldn't really find where it was discussed

1 in the background sections to the document that lead up to  
2 the final section where you say, okay, this is what we  
3 used for the -- this, and this is what we used for that.

4           When I looked in the -- and I went back again.  
5 So I'm sure it's there, but I just -- it's -- somehow the  
6 way it's -- it's -- whereas the other research by the same  
7 author has several big tables of data that -- the two-year  
8 study.

9           DR. DODGE: Right. I think there was an earlier  
10 study. The study originated some years before 2006,  
11 right.

12           PANEL MEMBER BLANC: Right. And then he  
13 published -- I mean, my guess is he published at a later  
14 date data from the original study that was the relevant --

15           DR. DODGE: Yes.

16           PANEL MEMBER BLANC: But it's a brief report.

17           DR. DODGE: Right. And then --

18           PANEL MEMBER BLANC: But is it summarized -- is  
19 it summarized in the document other than --

20           DR. DODGE: It is. It should be in there.

21           PANEL MEMBER BLANC: Where is it, I guess is what  
22 I'm saying. And if it is there, is there some way of  
23 making it --

24           DR. DODGE: Well, okay, so the original study was  
25 more briefly presented. And then Dr. Shiotsuka came back



1 in 2006 and I think reexamined the data with a different  
2 group of pathologists looking at the slides and came up  
3 with his conclusions, which were much more comprehensive.  
4 And...

5 PANEL MEMBER BLANC: I mean, it's in the  
6 reference list. I'm not arguing that part.

7 DR. DODGE: Yeah. You're referring to Sangha,  
8 1984 was the --

9 PANEL MEMBER BLANC: No. I'm referring to the  
10 study -- it's the 2006 study upon which the --

11 DR. DODGE: Oh, okay.

12 PANEL MEMBER BLANC: -- REL is based, right? And  
13 that appears --

14 DR. DODGE: Right.

15 PANEL MEMBER BLANC: It appears in the reference  
16 list, and it appears in the section where you say, okay,  
17 this is where we derived the acute REL. I get all that.  
18 But your standard approach is that once you circle back to  
19 what you used for the RELs, they've already -- those  
20 papers have already been discussed in the relevant  
21 section. And this, I would have expected, would have been  
22 summarized and discussed in the acute effects section.

23 DR. DODGE: So you're referring to Shiotsuka 2006  
24 as being --

25 PANEL MEMBER BLANC: Yes.

1 DR. DODGE: -- insufficient -- insufficiently  
2 reported in the literature.

3 PANEL MEMBER BLANC: In the -- in your review.

4 DR. BUDROE: In the document.

5 PANEL MEMBER BLANC: But maybe I missed it.  
6 Either it's not there, which means that you've got to  
7 circle back and put it -- and discuss it.

8 DR. BUDROE: Well, I think it's partly because it  
9 was a reexamination of the Sangha 1984 study. And we had  
10 a substantial -- Shiotsuka didn't redo the study. They  
11 did a re -- the in-live portion. They did a reexamination  
12 of the histopath data.

13 PANEL MEMBER BLANC: Well, then -- well, there  
14 was no way to know that. No way for me to figure that  
15 out. So all I'm saying is --

16 DR. BUDROE: Make that clearer than it is.

17 PANEL MEMBER BLANC: -- in the section, you need  
18 a couple sentences that say, "Later in 2006, so and so  
19 went back to this study", and whatever it is.

20 DR. BUDROE: Okay. What we've got in the  
21 document is what appears to be a reexamination of the  
22 Sangha --

23 PANEL MEMBER BLANC: What page.

24 DR. BUDROE: Page 52, line number 1482.

25 PANEL MEMBER BLANC: But isn't it in the chronic

1 section?

2 DR. BUDROE: That's chronic. Sorry, I've got...

3 PANEL MEMBER BLANC: So you don't have to solve  
4 it here, but what I need you to do is go back and figure  
5 out -- either it's so obscure that a normal reader won't  
6 see it or it just was in error omitted somehow from the  
7 appropriate section. What I think -- maybe what you're  
8 saying is that there was embedded in the 2-year study some  
9 acute data that was later published, is that what you're  
10 saying, where that comes from?

11 DR. DODGE: Well, you know, I have to tell you I  
12 don't recall. I'd have to go back and take a look.

13 PANEL MEMBER BLANC: Uh-huh.

14 DR. DODGE: Yeah, we'll take care of that.

15 PANEL MEMBER BLANC: Okay. And then also, if  
16 truly it's mostly from the data from the 2-year steady,  
17 but it's some early data from it, you should also make  
18 that clearer, you know, what it is.

19 DR. DODGE: Okay.

20 PANEL MEMBER BLANC: Okay. Because --

21 PANEL MEMBER KLEINMAN: Yeah. On that point  
22 though, on page 53 --

23 CHAIRPERSON ANASTASIO: Is your mic on?

24 PANEL MEMBER KLEINMAN: On page 53, the  
25 discussion on lines, you know, 1484 down, it sounds like

1 there's data that's being presented by Shiotsuka that was  
2 not in the other paper at all. So I -- did he do a  
3 reanalysis of specimens, do you think, or --

4 DR. DODGE: That's what appears to have happened,  
5 yes.

6 PANEL MEMBER KLEINMAN: Okay. So it's not really  
7 a reexamination?

8 DR. DODGE: It's not a new study, yeah.

9 PANEL MEMBER KLEINMAN: It's not a new study, but  
10 it is a new actual analysis of samples and tissues?

11 DR. DODGE: Yes.

12 PANEL MEMBER KLEINMAN: So that there is new data  
13 that's reporting. And it's not -- because when you said  
14 it was just a -- you know, a redo of the original study,  
15 it sounded like they just took their data and then tried  
16 to publish it in a peer-reviewed journal.

17 DR. DODGE: You know, they aren't specific, but  
18 you can sort of read between the lines that they looked at  
19 the original Sangha slides, data, whatever, and they put  
20 together a new group of pathologists and reexamined that  
21 data.

22 PANEL MEMBER BLANC: Well, or they could as  
23 easily have relooked at their own slides, because he  
24 actually published the 2-year study, so -- and I pulled  
25 the paper, because I was so confused. And it still

1 wasn't -- it's a very brief paper. So it doesn't have any  
2 tabular data. It just has slides -- pictures of tissue.

3 DR. DODGE: Yeah. Right. Yeah. I -- I'll have  
4 to -- I'll have to review, but I can obtain some  
5 information that weren't -- wasn't published. And I have  
6 it in the references in the back. I think that might be  
7 what you're referring to.

8 PANEL MEMBER BLANC: I -- I don't think so.

9 DR. DODGE: So you're looking for the acute data,  
10 right, or is it the -- that's --

11 PANEL MEMBER BLANC: So you're basing the acute  
12 REL on this person's work, right, on Shiotsuka, right?  
13 Because that's the paper that --

14 DR. DODGE: I don't think -- the acute -- I think  
15 it was the 8-hour chronic study that I based the REL on.

16 PANEL MEMBER BLANC: The acute REL.

17 DR. DODGE: No. I think it was the 8-hour and  
18 chronic RELs I based the -- I used the Shiotsuka study  
19 for.

20 PANEL MEMBER BLANC: Okay. But that would still  
21 be under the acute -- would that still be under the acute  
22 or under the chronic? Because we --

23 DR. DODGE: Are we talking -- we're talking about  
24 the monomer, the HDI monomer.

25 PANEL MEMBER BLANC: Yeah.

1           PANEL MEMBER KLEINMAN: So on page 77, where you  
2 discuss the REL, it's both Sangha and Shiotsuka are listed  
3 as the basis.

4           PANEL MEMBER BLANC: Right.

5           DR. DODGE: Oh, okay. That's right. It's the  
6 3-week study - I'm sorry. Yeah, that's correct - that we  
7 used the acute REL -- or based the acute REL on.

8           PANEL MEMBER BLANC: Right.

9           DR. DODGE: And there is --

10          PANEL MEMBER BLANC: But the 2006 I would have  
11 expected would have been discussed at some point where the  
12 Sangha study was. And all of that does appear under the  
13 chronic section, doesn't it, in the text? Not there, but  
14 in the text itself. So it does --

15          DR. DODGE: Yeah. Okay. I see your confusion  
16 there.

17          PANEL MEMBER BLANC: Can you -- can you clean  
18 this up in some way?

19          DR. DODGE: Yeah, I'll -- yeah, I'll clean it up.

20          PANEL MEMBER BLANC: And, you know, just there  
21 are a couple ways you could do it. One is you could  
22 say -- you could have a sentence that would say although  
23 this is appearing under chronic -- in the chronic effects  
24 section, it will be quite relevant to the acute REL  
25 derivation --

1 DR. DODGE: Um-hmm.

2 PANEL MEMBER BLANC: -- because of blah, blah,  
3 blah.

4 DR. DODGE: Okay.

5 PANEL MEMBER BLANC: So if you could take care  
6 of -- because I think since that is the data that you  
7 used, it's kind of a sensitive issue.

8 So let me just go briefly over a few other  
9 things, not to take people's time a lot. And then I'll  
10 give you just my little scribbled notes, so you can go  
11 through, and when you see something, you could say it.

12 Because of the confusing nature of this topic of  
13 the isocyanates and where people are exposed to them, and  
14 even in light of the arguments that -- that industry makes  
15 about nobody is exposed, the section in the -- early on,  
16 where you always -- you typically do it where you say what  
17 are the major uses and sources.

18 DR. DODGE: Um-hmm.

19 PANEL MEMBER BLANC: You know, you're basing it  
20 on data, none of which is particularly recent, I mean --  
21 and this is a very changing market and changing  
22 applications. I think if it wouldn't be a whole lot of  
23 work, just a few more sentences there about what HDI is  
24 used for juxtaposed with what the other isocyanates are  
25 used for would be clarifying. So from what I can tell,

1 for example, HDI is not used in foam applications. Am I  
2 correct in that?

3 DR. DODGE: I don't believe so. Yeah, it's --

4 PANEL MEMBER BLANC: Right.

5 DR. DODGE: It's the aromatic diisocyanates, such  
6 as MDI and TDI.

7 PANEL MEMBER BLANC: Right. So since that's a  
8 very important public exposure issue, it wouldn't be  
9 absurd to say, it's used for this and it's -- whereas,  
10 certain other applications are typically other  
11 isocyanates, if you -- if you wanted to.

12 But even just on the things it is used for, you  
13 say, "Other paint sources include industrial coatings,  
14 e.g. for bridges and ships and architectural finishing",  
15 and that's based on the big Dow products that are on the  
16 market. But I'm not sure that that really captures the  
17 potential.

18 So, for example, it's not just bridges and ships,  
19 it's parking lots for their water proofing elastomeric  
20 HDI-based thing. There's a lot more parking lots that  
21 people do work in than are nearer than bridges, right,  
22 which is out in open air? It's just as an example. And  
23 it's not just architectural finishing. It's actually  
24 furniture finishing, which again is a much different  
25 kettle of fish.



1           So I think with just a little bit more  
2 information there, it would underscore why you -- you  
3 know, why this matters. I'm not discounting that spray  
4 painting is the -- you know, is the major thing.

5           DR. DODGE: Um-hmm.

6           DR. BUDROE: Just an additional use that we could  
7 be mentioning in the document.

8           PANEL MEMBER BLANC: Which -- which underscores  
9 how the public could be -- how it could be out there in  
10 areas that are not inside sealed, you know, reactor  
11 vessels, you know what I mean, if that makes sense.

12           And then my other general point would be there  
13 are a lot of places in this document where you use words  
14 like -- you know, "very" or "highly", words that are not  
15 precise. I mean, what does highly mean? What does very  
16 mean? So in places where you can just, you know, be  
17 more -- it's greater -- X is greater than Y kind of stuff,  
18 where it's twice as high as X, but "very", and  
19 "extremely", and all that stuff is not as useful. So it's  
20 just a quick read. There's nothing that you have to -- I  
21 wouldn't put down in a resolution.

22           But just as an example of places also where  
23 you -- you should think care -- since this is a document  
24 that then people use, or read, or quote -- "The ranges for  
25 HDI polyisocyanates in Table 1 show that the short-term

1 ceiling limit 140 micrograms per meter is often exceeded  
2 in the breathing zone of spray painters, demonstrating the  
3 need for adequate respiratory protection".

4 Well, first, I'd say demonstrating the need for  
5 adequate engineering controls, right? That's the  
6 hierarchy of controls is -- I have someone nodding across  
7 the room. So when you say things like that, it's like  
8 saying oh, you know, just slap a respirator on them and  
9 that will solve the problem, right?

10 There's another point where you say because some  
11 researcher said it, that when you get occupational asthma  
12 from HDI, it's not as severe as occupational asthma from  
13 iso -- from toluene diisocyanate. I don't believe that's  
14 true at all. I don't believe there's any data that would  
15 suggest it. I don't think there's any biological  
16 plausibility to make us believe that. So I would just  
17 delete that. Why are you even saying that?

18 Because some lawyer is then going to say, oh, you  
19 know, State of California says --

20 DR. DODGE: Yeah, that was conclusion by the  
21 author. Yeah.

22 PANEL MEMBER BLANC: Right, but why say a bogus  
23 conclusion. Suppose they concluded there's no global  
24 warming, are you going to put that in your document, you  
25 know what I mean?

1 DR. BUDROE: So you're suggesting it, in some  
2 cases, less can be more?

3 PANEL MEMBER BLANC: I'm absolutely suggesting  
4 that. And one -- and, you know, I'll just give you these  
5 notes. You'll see what I'm -- what I'm -- small places.

6 But this is another sort of generic question that  
7 could apply to other documents. I know that you -- you  
8 often quite appropriately cite what exposure limits are  
9 also in the occupational setting. And this is obviously a  
10 major occupational exposure issue. And we're very lucky  
11 that California has an HDI standard, which -- which  
12 actually federal OSHA doesn't. And you cite the Oregon  
13 ceiling limit, I believe. Do you guys ever think about  
14 citing what the Europeans set for limits or are you in any  
15 way inhibited from doing that?

16 DR. DODGE: I don't think so. I think it was  
17 just more relevant to talk about what the U.S. or --  
18 states and federal levels were. But I -- if you'd like, I  
19 could add some of the European levels as well, yeah.

20 PANEL MEMBER BLANC: If it's useful. You should  
21 look. For example, the British have one level only for  
22 all iso -- they don't differentiate. They just say, okay,  
23 here's the exposure limit for isocyanates, and they don't  
24 care if it's HDI, or MDI, or TDI. And it's -- it's --  
25 it's lower, you know, than -- than California's, for

1 example.

2           So I thought that was interesting. I don't -- so  
3 I think just as sort of a generic point, you might think  
4 about going forward.

5           And you didn't say anything about the American  
6 Conference of Governmental Industrial Hygienists who often  
7 go back to things that OSHA hasn't looked at for 30 years.  
8 Do you happen to know whether they've looked at a TLV for  
9 this?

10           DR. DODGE: Yeah, I don't know if they have or  
11 not.

12           PANEL MEMBER HAMMOND: Yes. Yeah, they have.  
13 And I think they have a standard of -- I think it's -- I  
14 was just looking at one of your papers, the Cassidy paper,  
15 and it's in there. I think it's 5 ppm that -- or 5 ppb.  
16 It's only a 1,000-fold difference. 5 ppb is the -- ACGIH  
17 TLV is 5 ppb.

18           PANEL MEMBER BLANC: So that's it.

19           CHAIRPERSON ANASTASIO: Thank you, Paul.

20           DR. DODGE: Okay. Thank you.

21           CHAIRPERSON ANASTASIO: Mike.

22           PANEL MEMBER KLEINMAN: Great. Thank you.

23           First, I'd like to echo that this was a very  
24 nicely put together report, and very comprehensive. I  
25 have a few minor questions, and then I've got, you know,

1 some specific things that I'll send you copies of to look  
2 at.

3           But one of the things that I think would make  
4 this a stronger document is a much more clearer discussion  
5 of the difference between asthma, and respiratory  
6 sensitization, and reactive airways, because these  
7 compounds do a little bit of everything. And I think, you  
8 know, some of the comments that you got from ACC were  
9 pointed in one direction, rather than the other. I think  
10 it would make it a lot clearer to explain you know that,  
11 in some cases, you do get something that looks like  
12 asthma. You get antigen-specific IgEs for example. And  
13 that would be a signal that, you know, something more  
14 asthmatic.

15           But in some cases of respiratory sensitization,  
16 you can have the sensitization without necessarily having  
17 the antigen-specific response.

18           DR. DODGE: So not necessarily an immune type of  
19 response.

20           PANEL MEMBER KLEINMAN: Right. But in the -- you  
21 do have find -- you know, you mention in several places  
22 that there are circulating IGGs. And one of the things  
23 that I just wanted to clarify was in some places you just  
24 say IgG, and in other places you specified that it's HDI  
25 specific, or HDI HSA specific. And I think it would be

1 good to make sure that if it is, you know, antigen  
2 specific, that would be important, not only because it  
3 does talk about the immune system involvement, but also  
4 speaks to the fact that you're seeing something that's a  
5 systemic response. IgE is circulating. So you can have  
6 sensitizations in -- you know, that will result in the  
7 systemic responses to this, as well as just the  
8 tissue-specific ones.

9           And the other point -- oh, I did want to ask for  
10 clarification. When you use the MPPD model, that has  
11 the -- you know, a number of inputs, did you do it as an  
12 oral/nasal inhalation, or oral, or nasal or, you know --

13           DR. DODGE: I selected nasal was the -- yeah.

14           PANEL MEMBER KLEINMAN: Okay.

15           DR. DODGE: Yeah. I think I have it in the  
16 appendix in the back. I might have mentioned that.

17           PANEL MEMBER KLEINMAN: Because at 20 liters a  
18 minute, you know, the -- you -- most people will have  
19 probably 40 or 50 percent oral, 60 percent nasal. So  
20 there is, you know, a tendency, you know, so --

21           DR. DODGE: Yeah, I'm kind of -- I am aware of  
22 that.

23           PANEL MEMBER KLEINMAN: Yeah.

24           DR. DODGE: It doesn't -- your choice is nasal or  
25 oral. It doesn't give you a mix, as I recall, or that

1 multiple path particle dosimetry.

2 PANEL MEMBER KLEINMAN: No. It actually has a --  
3 well, the model -- the one -- the version I had I believe  
4 specified oral/nasal for the human model.

5 DR. DODGE: Oh, it does.

6 PANEL MEMBER KLEINMAN: Yeah.

7 DR. DODGE: Okay.

8 PANEL MEMBER KLEINMAN: But -- and you may have  
9 selected that if it was there. I don't know. But anyway,  
10 I just wanted to point that out.

11 DR. DODGE: Um-hmm. I'll go back and take a  
12 look. Maybe I don't have a more recent -- maybe I need a  
13 more recent version too.

14 PANEL MEMBER KLEINMAN: And the other thing -- I  
15 don't know if you've considered this, but when you're  
16 scaling to the, you know, human equivalent dose, or  
17 concentration, you can also scale -- you know, using MPPD,  
18 you can actually use a deposition model to get a  
19 deposition ratio, human versus rodent, for example.

20 And --

21 DR. DODGE: Right. There is that selection in  
22 there, yes.

23 PANEL MEMBER KLEINMAN: Yea. So it -- you  
24 know -- I -- and I think that would probably support some  
25 of the selections of, you know, correction factors or

1 uncertainty factors, because there is a substantial  
2 difference, based on surface area and things.

3 Oh, the other thing that I was wondering about,  
4 in the Haber relationship -- I don't like to call it a  
5 law. It's not a law.

6 (Laughter.)

7 PANEL MEMBER KLEINMAN: But the C times T  
8 relationship, selecting a default value of 3, is there  
9 substantiation behind that because, you know, for example,  
10 I've done it with something like ozone. And you can  
11 clearly see, you know, a non-linear dose response to  
12 ozone.

13 And when you use -- you get a best fit to a C  
14 times T, it's really like one and three-quarters or  
15 something, 1.7, as opposed to 2 or -- but these com -- you  
16 know, all these compounds are different. So I was just  
17 wondering, is there a real basis for the number 3 or was  
18 that, you know, a guesstimate based on other things?

19 DR. DODGE: I believe it goes back to our  
20 original technical support documents from around 2000 --

21 DR. BUDROE: 2008.

22 DR. DODGE: -- where a number -- right, where I  
23 think a number of C times T relationships were looked at,  
24 and it was determined that a 3 would be sufficiently  
25 protective based on all these studies that developed --



1 that had C times T relationships where you could develop  
2 the N. Did you have anything to add, John?

3 DR. BUDROE: No. That -- that's just  
4 essentially -- that -- that is issue was pretty thoroughly  
5 vetted in the 2009 non-cancer technical support document,  
6 so -- and it was based on empirical data.

7 PANEL MEMBER KLEINMAN: Right. Thank you.

8 And when I was looking at other uses of, you  
9 know, polyurethane coatings, I was just wondering if there  
10 are other, you know -- you know, for example, are there  
11 consumer products for -- with polyurethane coatings that  
12 you might, you know, hobbyists or other people might be  
13 using as well?

14 PANEL MEMBER BLANC: Yes. I mean, that's what we  
15 were talking about before. All these coatings are not --  
16 are available to the public. The question is some -- and  
17 some of these furniture coatings are HDI containing.  
18 And -- but I mean, if you just talk about isocyanates, you  
19 can go down to Ace and buy H -- isocyanate-containing  
20 glues, right? Some of the -- some of the super-type glues  
21 are not all epoxies, some of them are urethane glues. But  
22 I don't know which for --

23 DR. DODGE: I don't think that's HDI, but it  
24 could be --

25 PANEL MEMBER BLANC: I think it's MDI is what I

1 guessed, but I'm saying it's --

2 DR. DODGE: MID Is probably -- yeah.

3 PANEL MEMBER KLEINMAN: Okay. But -- okay.

4 Great. Thank you.

5 So I have some, you know, other, you know,  
6 marginal notes I'll send to you. And hopefully those will  
7 help. Okay. Thank you.

8 DR. DODGE: Okay. Thank you.

9 PANEL MEMBER BLANC: Can -- there's -- his  
10 comments brought to mind a couple things I wanted to say.  
11 One is that I would differ a little bit. Already, there  
12 is a lot in here about IgE and IgG. And, in fact, it's  
13 really not understood with isocyanates what these things  
14 mean. And it's not clear to what extent the inability to  
15 identify IgE as a technical problem with what you're --  
16 what the conjugate is that you're using, because this is a  
17 small molecule that binds to larger molecules.

18 So I don't think you should go down the rabbit  
19 hole too much about what -- what is the precise mechanism  
20 at that level. But I do think that when you talked about  
21 irritant-induced asthma, which clearly has happened, and  
22 that is a complication of all of the isocyanates - they're  
23 pretty potent irritants. They're not just sensitizers -  
24 that you had a statement -- I didn't even circle it, I  
25 don't think, but where you said that irritant-induced

1 asthma is not immunologic.

2 Well, that's not probably correct at all. It's  
3 just not classic sensitization immunology. It's obviously  
4 there's immunology involved. It's just not clear what the  
5 chronic inflammatory immunological factors are. So I  
6 don't think it's necessary to go out on these limbs or be  
7 as definitive about some of these things, that which is  
8 related to my other comment.

9 And two other points I forgot to bring up. One  
10 of them is I was really interested and surprised at the  
11 data on the release of monomer from thermal breakdown,  
12 which just surprised me chemically that you could do that.  
13 I wouldn't have thought that possible. I know that you  
14 can release cyanide and all the other small things.

15 And that means I suppose every time that there's  
16 a large fire in California, you would be releasing some  
17 amount of isocyanate monomers, not just cyanide and  
18 nitrogen and oxides.

19 DR. DODGE: Right. It seems to depend on the  
20 heat, yeah, how hot they are.

21 PANEL MEMBER BLANC: So are there no data  
22 whatsoever from, you know, urban wildland fires or large  
23 conflagrations? But I'm just saying no -- but nobody has  
24 bothered to measure this in California?

25 DR. DODGE: Not that know of.

1           PANEL MEMBER BLANC: CalEPA has never tried to  
2 measure. And you're -- you're solid about the data -- the  
3 papers you cite about the thermal, right?

4           DR. DODGE: Yeah, those are the ones I could  
5 find, yeah, regarding the issue of burning the material.  
6 But I -- you know, I could do a more thorough search and  
7 see if there's thinking else.

8           PANEL MEMBER BLANC: I mean, clearly, the  
9 implications are rather large for a potential air  
10 contaminant exposure, right?

11          DR. DODGE: Um-hmm, yes.

12          DR. BUDROE: Part of that may be due to the fact  
13 that that's more of a accidental release. And, you know,  
14 the State agencies that might do air monitoring like, for  
15 example ARB, are looking more at facility emissions that  
16 are kind of a day-in day-out thing, rather than something  
17 that's, you know, impromptu, you know, a wildfire, a large  
18 chemical spill, something like that.

19          PANEL MEMBER BLANC: I guess I would counter  
20 argue that we can predict that there will continue to be  
21 large wildland fires that includes structural destruction,  
22 where urethanes are likely to be found.

23          DR. BUDROE: Right. Well, I'm talking that's  
24 been the past paradigm whether that needs to be --

25          PANEL MEMBER BLANC: Right. Right.

1 DR. BUDROE: -- should be considered continued  
2 for the future, might need reexamination.

3 PANEL MEMBER BLANC: Right.

4 And then my final --

5 PANEL MEMBER GLANTZ: I think -- I mean, I think  
6 Paul raises a good point, but I don't think he's  
7 suggesting that you go rewrite the report.

8 PANEL MEMBER BLANC: No, no, not at all.

9 PANEL MEMBER GLANTZ: I think it's just a comment  
10 that would probably be worth adding.

11 PANEL MEMBER BLANC: Yeah. You know, there's a  
12 successes - I couldn't find it right now - where you say  
13 just before you get to the REL -- I think it's before the  
14 chronic REL, where you say -- you sort of reiterate the  
15 rake of adverse health effects that -- that HDI could  
16 have. So you talk about asthma, and you talk about other  
17 things, irritation, so forth, so in that context where you  
18 talk about it more globally. I don't know, but it's -- it  
19 might be.

20 CHAIRPERSON ANASTASIO: Kathy, do you have  
21 something to add?

22 PANEL MEMBER HAMMOND: Certainly I agree with  
23 what Paul is saying. I think that we need to think about  
24 fires. And certainly the large wildfires are important.  
25 But I think structural fires in the City or anywhere would

1 also release these. You do have a section where you talk  
2 about if it's under 350 degrees, you get this kind of  
3 release of chemicals, and it's over 350. So there's some  
4 scientific data, maybe laboratory basic data it looks  
5 like.

6 But I think it is worth pointing that out,  
7 because fires are routine. I mean, even if they're just  
8 residential fires, car fires, if we start -- if these can  
9 be released, then these are places where people can be  
10 exposed. And certainly I understand why we haven't  
11 monitored that to date. These are very difficult  
12 compounds to monitor. And you don't just kind of pick up  
13 a pump and go with them. You have to be prepared for  
14 that, but it may be something to think about.

15 But it might be worth adding a few sentences that  
16 this could be a significant exposure in cities and in --  
17 any -- anywhere cars and houses burn, as well as wildfires  
18 that go over other areas.

19 PANEL MEMBER BLANC: And this -- it also relates  
20 to the long involved section about how many people would  
21 you anticipate in the California population are  
22 pre-sensitized to isocyanates. And you went through a lot  
23 of stuff about that. At one point - I left a note for you  
24 - but you said you really referred to the incidents of  
25 occupational asthma. But the incidents is actually

1 irrelevant. What you care about is the prevalence,  
2 because people don't have it and then it goes away, so  
3 once they're sensitized.

4           So if you had an incidence of 2 people per  
5 million per year, and they're going to sensitized for the  
6 rest of their lives. And then their average life is  
7 another 40 years, then it's 80 per million is the  
8 prevalence in the population. So that's one thing.

9           But the other thing is the places where people  
10 are sensitized are likely to be geographically  
11 concentrated near the sources where they got sensitized.  
12 So it's a very -- what you did is very, very conservative,  
13 even so, you know, because if I got sensitized at the auto  
14 body shop that I work in in the mission in San Francisco,  
15 which is probably -- I live not so far from the auto body  
16 shop in the Mission, where I got sensitized. I don't live  
17 the Dunsmuir and commute to work in the auto body shop.

18           So the cases of people who are sensitized are not  
19 evenly distributed across the population of California.  
20 And then also, you need to be very cautious. You  
21 actually, at one point, say people who have airway  
22 hyperresponsiveness will be more responsive to  
23 isocyanates, be hyperresponsive to the irritant effect  
24 of -- now you're not talking about being sensitized.  
25 You're just talking about --

1 DR. DODGE: Right.

2 PANEL MEMBER BLANC: -- what happens when someone  
3 has airway hyperresponsiveness and they're exposed to an  
4 irritant. But you have to be very cautious when you say  
5 that, because the data are actually not cross-chemical --  
6 chemically affirmative of that. So for sulfur dioxide,  
7 people who are hyperresponsive are clearly much more  
8 responsive to sulfur dioxide. But if you look at ozone,  
9 people who are hyperresponsive are not particularly more  
10 sensitive in a predictable way to concentrations of ozone.

11 So just when you say things like that, you  
12 should -- they're probably not necessary exactly to your  
13 argument. I'm just not sure. And I know that's part of  
14 the argument with children have asthma -- in children, but  
15 you should finesse it in some way, if that makes sense --  
16 if what I'm saying makes sense.

17 DR. DODGE: Yeah, I think so. That statement  
18 about hypersensitive people. It's -- it was part of our  
19 REL development. And we described -- you know, we tried  
20 to describe who we're trying to protect and who they can't  
21 protect --

22 PANEL MEMBER BLANC: Well, you could say they --

23 DR. DODGE: -- with these RELs.

24 PANEL MEMBER BLANC: -- it's conceivable that  
25 they might be, or something, but it's not a --



1 DR. DODGE: Yeah.

2 PANEL MEMBER BLANC: And finally, the one last  
3 point that I wanted to bring up and I forgot was the data  
4 which are quite robust on cross-shift change from exposure  
5 to isocyanate that you have decided some --

6 DR. DODGE: Cross-shift, you mean --

7 PANEL MEMBER BLANC: Cross work-shift change.

8 DR. DODGE: Um-hmm.

9 PANEL MEMBER BLANC: And these are people who are  
10 not necessarily sensitized, right?

11 I mean, you take a group of workers, and you look  
12 at the whole group of workers and you say the cross-shift  
13 fall in FEV1 related to exposure is X, right?

14 DR. DODGE: You mean the change from their  
15 respiratory function compared to before and after exposure  
16 in the same day or the same week?

17 PANEL MEMBER BLANC: Yes. Yes. Yes. Yeah.

18 DR. DODGE: Yeah.

19 PANEL MEMBER BLANC: It's usually the -- it's  
20 usually across one shift, but you can do it across the  
21 workweek.

22 DR. DODGE: Um-hmm.

23 PANEL MEMBER BLANC: Are those data not at all  
24 relevant to the acute effects of...

25 DR. DODGE: Yeah, that is a good question.

1           PANEL MEMBER BLANC: I mean, you've already used  
2 the study for the chronic and the subchronic, right --  
3 8-hour and the chronic. You've used the human data.  
4 Although not the cross-shift data, I guess. It was  
5 decremental lung function over time.

6           DR. DODGE: I think what you're describing is a  
7 possibly that it could be like a recurrent acute effect.  
8 Maybe they're normal.

9           PANEL MEMBER BLANC: Yes. Yes.

10          DR. DODGE: You know, everything is fine, and  
11 then the --

12          PANEL MEMBER BLANC: And I'm not saying that you  
13 redo your acute REL substituting those data for the animal  
14 study that you used. But it might be worth alluding to it  
15 in your narrative of acute effects, or saying in your --

16          DR. DODGE: I see what you're saying, yeah.

17          PANEL MEMBER BLANC: Where you do discuss it,  
18 which is in the chronic health effects section, you might  
19 say something about this could potentially be considered  
20 an acute effect as well.

21          DR. DODGE: Okay. Yeah. Because these workers  
22 have been exposed for some time and you start seeing these  
23 effects just with cross-shift changes, you know, I don't  
24 know how much a factor that their previous exposures, you  
25 know, of months and years had with these changes. So

1 it's --

2 PANEL MEMBER BLANC: Well, the only way to --

3 DR. DODGE: It's kind of a difficult issue.

4 PANEL MEMBER BLANC: The only biological way to  
5 say that it's related to how long they've been exposed is  
6 if they've become sensitized. But if they haven't become  
7 sensitized, then there isn't a particular reason to invoke  
8 the chronicity of it. At least I wouldn't ignore it  
9 altogether. I wouldn't change something fundamentally  
10 that you've done, but I think it's worth shout -- give a  
11 shout out to --

12 DR. DODGE: Okay. Yeah, I understand.

13 PANEL MEMBER BLANC: -- is what I'm saying.

14 DR. DODGE: Um-hmm.

15 CHAIRPERSON ANASTASIO: Okay. Thank you, Paul.  
16 Thank you, Mike.

17 I'd like to go around now to the other Panel  
18 members. We'll start with the veterans and then I'll go  
19 to our newcomers.

20 Kathy, would you like to start?

21 PANEL MEMBER HAMMOND: Thank you.

22 Just adding a few things. I want to thank you  
23 for --

24 THE COURT REPORTER: Can you pull the mic closer?

25 PANEL MEMBER HAMMOND: Closer. Okay. Is this

1 better?

2           Okay. I want to thank you for including the  
3 amount of air breathed during the workplace is 10 cubic  
4 meters. And it's different at work then when you're  
5 sleeping. That's really nice to see that. I hope your  
6 friends at the pesticide area could learn from you, but  
7 I'll cite that. Thank you for that.

8           And also, I want to thank you for talking about  
9 the fact that exposures didn't show up in the school study  
10 doesn't mean there aren't problems. And in previous  
11 studies such as perchloroethylene many years ago, a couple  
12 decades ago, ARB found that from auto repair shops -  
13 something similar to spray painting here - the -- using  
14 spray cans that have perchloroethylene in them led to  
15 neighborhood levels of perchloroethylene that were deemed  
16 to be sufficient to cause -- present a carcinogenic hazard  
17 to the neighbored. So given that kind of background, this  
18 would follow from that. So I think you're totally  
19 justified in all of that.

20           I have a few little things. One is that you go  
21 back and forth in different places in the document between  
22 ppb and ppm, micrograms and milligrams per cubic meter.  
23 And I think we've talked before. I think it's better if  
24 try -- try to keep it in one of those units for most  
25 readers, you know, to make sure that they can follow.

1 DR. DODGE: I do try to do that, but sometimes  
2 the number of zeros past the decimal point just gets too  
3 many to handle. If I --

4 PANEL MEMBER HAMMOND: Yeah. Well, actually what  
5 happens is there's like 0.035 ppm, and 35 ppb is easier to  
6 -- so it actually -- anyhow, it's a suggestion.

7 A smaller error, I think, would be on page 7,  
8 lines 125 and 26, you say that the ceiling concentration  
9 is 1 milligram per cubic meter, which would be 1,000  
10 micrograms per cubic meter. But then if you go down to  
11 line 141, you say the short-term ceiling limit is 140  
12 micrograms per cubic meter.

13 Unless I've misread something there, I think 140  
14 is the -- the longer term. It's the 8-hour time-weighted  
15 average number, not the ceiling number. Do you follow  
16 what I'm saying?

17 DR. DODGE: Yes, I'll correct that, if that's  
18 necessary.

19 PANEL MEMBER HAMMOND: Okay. Yeah. Right.

20 In Table 1, I would like to see more data that --  
21 you do cite papers that have more exposure data, so -- but  
22 I'm always looking for more exposure data some place to  
23 say that. And -- but that's kind of your call. I  
24 don't -- it's not important.

25 And this is a question both for you and maybe

1 Paul. On page 26, line 716 you mentioned in rare cases  
2 hypersensitivity pneumonitis -- pneumonitis, that's  
3 actually really quite serious. And I didn't know if  
4 you -- I may have missed it that you talked about it  
5 later, but that this has been associated with spray  
6 painters using HDI.

7 I don't -- didn't see that come up later. I  
8 forgot to do a search. I meant to and I forgot to.

9 DR. DODGE: I think that might be the only place  
10 I mentioned it.

11 PANEL MEMBER HAMMOND: Right. I mean -- and I  
12 would defer -- I would really defer to Paul on that.

13 PANEL MEMBER BLANC: I think what she means --  
14 you know, I asked ask you about that section where you  
15 talk about in summary, HDI can cause asthma and can cause  
16 irritant-induced -- allergic asthma and irritant-induced  
17 asthma with high exposures. That would be the place to  
18 circle back and say, "In rare cases has been associated  
19 with hypersensitive pneumonitis". It is very rare. And  
20 most of the rare cases, even as rare as they are, have  
21 been TDI to my understanding. So I would be double sure  
22 that those were well documented to be HDI, and that you  
23 didn't pull that from a generic --

24 DR. DODGE: No, I wouldn't -- I wouldn't -- I  
25 wouldn't include it if it was TDI, but I can check to make

1 sure.

2 PANEL MEMBER BLANC: Double check that it wasn't  
3 a generic statement.

4 DR. DODGE: I was -- I'm pretty sure it was the  
5 HDI-based polyisocyanates that these people were exposed  
6 to.

7 PANEL MEMBER BLANC: Yeah. Yeah.

8 CHAIRPERSON ANASTASIO: Let me interject here.

9 PANEL MEMBER HAMMOND: Yeah, I'm sorry.

10 CHAIRPERSON ANASTASIO: Just. We have lunch  
11 waiting for us, and we have another agenda item after  
12 lunch. So Panel members, if you have small corrections,  
13 I'd ask that you email them to John. Are you the point of  
14 contact?

15 DR. BUDROE: Yes.

16 CHAIRPERSON ANASTASIO: Okay. So if we have  
17 small corrections, typographical errors, or things that  
18 don't quite make sense, please email that to John. If you  
19 have larger matters, let's have the discussion of those  
20 now, okay?

21 PANEL MEMBER HAMMOND: Just one last thing. The  
22 Cassidy paper ends up being pretty important for your  
23 study, right, for all of this? You're depending on that.  
24 And I notice it's true that they said that they did not  
25 have an effect. And I was going back looking at the

1 paper, and, in fact, the controls had more pulmonary  
2 function loss than the people who were exposed.

3 But the controls were people who were exposed to  
4 other materials. And I think it makes me have some  
5 hesitation about the paper.

6 I mean, certainly to say that -- I mean, I'm not  
7 clear that there's no effect, because the controls have --  
8 are exposed to rare earths. I don't know anything about  
9 the rare earths and how they affect the lung. But they  
10 also are exposed just to plain particulate matter at very  
11 high levels, averaging from 900 to 6,200 micrograms per  
12 cubic meter. And again, I think those would affect lung  
13 function.

14 So the controls have this other exposure that's  
15 affecting lung function. And so the fact that there was  
16 no affects seen in the exposed workers might -- there  
17 might even be a healthy worker effect or healthy survivor  
18 effect, where only healthy people went into that work.  
19 They're just issues that one would worry about. And it is  
20 an industry-sponsored study.

21 So thank you, but good work.

22 DR. DODGE: Oh. Thank you.

23 CHAIRPERSON ANASTASIO: Thank you, Kathy.

24 Joseph.

25 PANEL MEMBER LANDOLPH: Yes. Hi. I thought t



1 was a very nice document. I mean it's very clear you guys  
2 worked very hard on this and your colleagues, reviewed by  
3 3 reviewers, including Dr. Budroe. So it's very solid  
4 document. It can get tedious in points -- at points, but  
5 that's just because there's so much data in it.

6 I had a question. If I understood you right that  
7 the monoisocyanates don't produce asthma. And I was  
8 thinking why should that be? And are you getting a --  
9 maybe a cross-link on a protein, and that's making it more  
10 haptogenic or something, is that known?

11 DR. DODGE: I don't know if it's known for sure,  
12 but that's probably one theory, yeah.

13 PANEL MEMBER LANDOLPH: So it might be worth, you  
14 know, a line or two on that.

15 And I had another question. Thinking about the  
16 reactivity of HDI, it reminded me of the, you know,  
17 benzopyrene diol epoxide, because I'm a carcinogenesis  
18 person. And the question I had was does HDI bind to the  
19 DNA of cells, is that known? And what provoked me to  
20 think about that was, A, its reactivity, and B, the  
21 squamous metaplasia and hyperplasia that you're getting.  
22 And I wonder if they've done a 2-year study on any of  
23 these compounds?

24 DR. DODGE: I -- you know, since this was a  
25 non-cancer document, I really didn't look into the cancer

1 end of things. I know there's a problem with TDI and  
2 there's some concern about that particular compound being  
3 carcinogenic, but I'm not sure about HDI.

4 PANEL MEMBER LANDOLPH: Has been -- TDI been  
5 listed as a carcinogen?

6 DR. DODGE: I am -- I don't think so. John, do  
7 you have anything to add?

8 DR. BUDROE: Not -- I don't think so either, but  
9 we'd have to go back and check to be absolutely sure.

10 PANEL MEMBER LANDOLPH: Okay. So those are just  
11 some thoughts for you. Yeah, on page 54, there was this  
12 discussion about the hyperplasia and the squamous  
13 metaplasia being linear as a function of dose. And right  
14 away I started thinking about carcinogenesis again. So  
15 maybe there are some -- some hints that there might be  
16 carcinogenesis to watch out for.

17 Let's see, on page 93, there's this discussion  
18 about these changes being adaptive rather than adverse on  
19 lines 20 -- I'll write this down for you -- 2587 to 2590.  
20 I thought maybe that was a -- clearly a value judgment.  
21 I'm not so sure the authors are right on that. You know,  
22 they keep mentioning their adaptive rather than adverse.  
23 And I'm not so sure that that's true.

24 On page 94, you discussed a large genotoxic  
25 diversity. I don't know what that language meant. It was

1 page 94 line 2614. I think you were trying to say  
2 something, but I couldn't figure out what it was.

3 DR. DODGE: Oh, okay. I'll clear up that  
4 sentence.

5 PANEL MEMBER LANDOLPH: It just looked like it  
6 could be said more simply.

7 DR. DODGE: Okay.

8 PANEL MEMBER LANDOLPH: And I think -- I think  
9 that's it. And I'll send you some of these notes to make  
10 it easy for you.

11 DR. DODGE: Okay. Thank you, Dr. Landolph.

12 PANEL MEMBER LANDOLPH: But I enjoyed reading the  
13 document. It's a hell of a lot of work, and it's a very  
14 interesting document.

15 Thank you.

16 CHAIRPERSON ANASTASIO: Thank you, Joseph.

17 Stan.

18 PANEL MEMBER GLANTZ: So I also thought you did a  
19 really nice job. And I -- I mean, I always start by  
20 reading the public comments and the responses to comments.  
21 And I thought you did a good job on those. And the one  
22 thing that I, you know, think about a lot of are the  
23 applications of the uncertainty factors. And I thought  
24 you did that properly, and, you know -- and justified what  
25 you did, so that's my comment.

1           The other thing I guess I would add, unless one  
2 of the people who hasn't spoken yet comes up with some  
3 fundamental problem nobody's identified at the end, I  
4 think -- and there's been no question about the actual  
5 RELs. So my -- at the end, I'm hoping we can like  
6 tentatively approve the document, so we don't have to have  
7 it come back, because it is very -- I thought it was very  
8 nicely done, as I said.

9           CHAIRPERSON ANASTASIO: Yeah. Thank you, Stan.

10           Now, we'll get to our new members. Lisa, would  
11 like to start? Any comments?

12           PANEL MEMBER MILLER: I guess I just wanted to  
13 echo some of Paul's --

14           THE COURT REPORTER: Pull the mic a little  
15 closer.

16           PANEL MEMBER MILLER: Oh, sorry.

17           Can you hear me now?

18           (Laughter.)

19           PANEL MEMBER MILLER: Okay. So I wanted to echo  
20 some of the comments that Paul made about the definition  
21 of asthma sprinkled throughout. I think it's really --  
22 particularly for what you're describing here, I think it's  
23 really, really important to define what that is, because  
24 we know in human populations that this disease is  
25 highly -- I mean -- that's redundant. It's heterogeneous

1 without question.

2           And I think a few sentences indicating this for  
3 the reader who is not familiar with this disease  
4 phenotype. Particularly in humans, it's -- it would be  
5 imperative to clarify that. In this case, asthma is -- I  
6 suspect in all of these -- in the human studies, asthma is  
7 specifically defined as based upon clinical diagnosis and  
8 not by mechanism. And I think that's important, because  
9 that has implications back to how -- whether HDI or the  
10 polys are triggering these responses.

11           So it sort of gets you out of the trying to  
12 pigeonhole some of these pathways or some of these --  
13 either HDI or polyisocyanates into a specific mechanism,  
14 because we just don't know what those mechanisms are.

15           So again, I think clarifying the heterogeneity of  
16 asthma would help the reader. And understanding that just  
17 because you have airways hyperresponsiveness doesn't  
18 necessarily mean you are atopic, for example, right?

19           The other -- the other part that sort of raised  
20 my antenna was the description of the immune responses  
21 here. You have to be careful that just because you don't  
22 see IgE or IgG doesn't necessarily mean it's not there.  
23 It just could be that you didn't wait long enough to  
24 actually detect that response.

25           So because it takes -- it can -- it takes a

1 couple -- at least a minimum of a couple of weeks to  
2 elicit this -- a specific response to anything. So I  
3 think just because you don't see it, doesn't necessarily  
4 mean you don't have a response. I think that needs to be  
5 clarified.

6           And then just a comment. Last but not least, I  
7 was really struck by the paucity of data on -- in both  
8 infants and children. And, you know, certainly that  
9 that's an area -- that's a significant data gap. And I  
10 understand that the exposure levels are -- you know, are  
11 calculated to take into consideration the susceptibility  
12 of children to these compounds, but I think it would also  
13 be helpful to clarify that just because we don't have data  
14 on this population doesn't necessarily mean that these  
15 levels -- or the calculations -- I don't know if you can  
16 specifically say this, but it doesn't mean that -- that  
17 these extrapolations are, you know, accurate.

18           And, in fact, they may even be more susceptible  
19 than what you're proposing to -- you know, in terms of the  
20 exposure level, so I just want to stop there.

21           CHAIRPERSON ANASTASIO: Thank you, Lisa.

22           Ahmad, any comments.

23           PANEL MEMBER BESARATINIA: Yes. I wanted to echo  
24 all the other Panel members. It's a large body of work.  
25 Excellent. I just wanted to follow up on your response to

1 comment 8 of the ACC, their first set of comment, which  
2 you highlighted in your presentation.

3           On page 22, the upper slides, the last paragraph,  
4 you put forward this model that isocyanate may react with  
5 proteins, particularly albumin and form adducts. And  
6 these adducts might elicit immunoreaction, be immunogenic,  
7 and you have also indicated that the GS -- the genotype  
8 might influence this process, meaning that reduce GSH can  
9 result in higher levels of albumin adducts.

10           My question is I've been through this draft that  
11 was provided. I didn't see any human biomonitoring  
12 studies that basically back-up this proposed model. And I  
13 also checked the literature. There are just a couple of  
14 in vitro models. I was wondering what your thoughts are  
15 on that?

16           DR. DODGE: I -- I don't really have anything to  
17 add about that. There are some studies out there that  
18 you're probably aware of - I might have mentioned them in  
19 the document - that look at these kinds of relationships,  
20 you know, what the adducts formed and -- but I just don't  
21 have anything to add more than that.

22           PANEL MEMBER BESARATINIA: Yeah. There were a  
23 few genotyping studies, SNP studies, for GSDs, but they  
24 were looking at other endpoints. I was just wondering if  
25 you can find somehow -- as I said, there are a couple of

1 in vitro studies, which may help strengthen your argument  
2 here. Maybe you want to take a look at them.

3 DR. DODGE: Sure. Why don't you send them to me,  
4 because I -- yeah, we -- we often don't refer to in vitro  
5 studies in our documents that much, but, yeah --

6 PANEL MEMBER BESARATINIA: That's because of the  
7 lack of --

8 DR. DODGE: -- I'd be happy to take a look and  
9 summarize, yeah.

10 PANEL MEMBER BESARATINIA: -- yeah, in vivo  
11 studies. Yeah. And given the highly reactive nature of  
12 these compounds, the likelihood of these chemical  
13 interacting with albumin, I think it's -- it still remains  
14 a matter of question, so they can come back and --

15 DR. DODGE: Okay.

16 PANEL MEMBER BESARATINIA: Thank you.

17 CHAIRPERSON ANASTASIO: John.

18 DR. BUDROE: Just a quick note and -- about Dr.  
19 Landolph's question earlier, TDI is a carcinogen. It's  
20 listed in Prop 65. We have a hot spots cancer potency  
21 value you for it.

22 CHAIRPERSON ANASTASIO: Thank you, John.

23 Thank you, Panel members, for all your comments.  
24 I would like to encourage everyone, again, to send your  
25 smaller comments to John. And also some of the discussion



1 we had, I think it would be helpful -- lisa, for example,  
2 your points, if you could send those to John, so that they  
3 could incorporate that into the documents. From what I've  
4 have heard, in echoing what Stan had said, you know, our  
5 comments really don't change at all the RELs. So that's  
6 very solid, and that's the most important piece of the  
7 document.

8 But we do have a number of comments that we think  
9 will improve it. But I would recommend that we don't have  
10 an additional meeting on this, but rather that the Chairs  
11 perhaps look at the revised version to make sure that  
12 their comments are properly incorporated. And so I cannot  
13 remember now what the process is. Stan has been here much  
14 longer than I have.

15 PANEL MEMBER GLANTZ: So the process -- yes,  
16 since I'm the oldest.

17 PANEL MEMBER BLANC: I'd like to move that we  
18 accept the document as submitted with the proviso that the  
19 comments are addressed in minor revisions.

20 PANEL MEMBER GLANTZ: And that the Chair have the  
21 authority to sign-off on behalf of the Panel.

22 PANEL MEMBER BLANC: Right. And so basically it  
23 comes to you, and if you have any questions, you could run  
24 them past any of the Panel that you might want to.

25 CHAIRPERSON ANASTASIO: Okay. That's sound good.

1 PANEL MEMBER GLANTZ: Second.

2 PANEL MEMBER KLEINMAN: I have a question or  
3 discussion on it. In the document, OEHHA is recommending  
4 that HDI and HDI polymers be designated as a toxic air  
5 contaminant. Now, I know we went through a lot of  
6 discussion about the need -- you know, what that means for  
7 chlorpyrifos. And I didn't remember that being part of  
8 the discussion with TDI or -- you know, previously. So  
9 I'm just wondering does that automatically happen? Does  
10 it -- you know, if the document is approved, does it  
11 become a TAC, or is that another step, or --

12 DR. BUDROE: Well, HDI has already been  
13 identified as a TAC by virtue of its status as a federal  
14 hazardous air pollutant, or HAP. So it's already a --  
15 it's already a toxic air contaminant.

16 And the one section we have in -- the chemical as  
17 a toxic air contaminant especially affecting infants and  
18 children, we do recommend that it be identified as a TAC  
19 that may disproportionately impact children, pursuant to  
20 the appropriate Health and Safety Code section. But it is  
21 already a TAC.

22 CHAIRPERSON ANASTASIO: Okay. Great. Thank you,  
23 John.

24 So we had a motion. We had a second. So let's  
25 have a vote. Essentially, that we will have OEHHA submit

1 the revised document to me, and then assuming everything  
2 looks fine, it will be approved.

3 All in favor?

4 I can't remember. Does this need to be a voice  
5 vote?

6 PANEL MEMBER KLEINMAN: Aye.

7 CHAIRPERSON ANASTASIO: No.

8 THE COURT REPORTER: I don't know. I can't tell  
9 you what to do.

10 PANEL MEMBER BLANC: No, it's fine. You just  
11 note in the minutes that it's approved unanimously.

12 CHAIRPERSON ANASTASIO: Okay. I'll just make a  
13 count.

14 Okay. So all in favor?

15 (Hands raised.)

16 CHAIRPERSON ANASTASIO: All right. Let the  
17 record reflect that that was unanimous in favor of the  
18 motion.

19 So this then wraps up the Panel's required  
20 actions on the HDI document. Thank you again to OEHHA.

21 We will now take let's say a 25-minute break for  
22 lunch. Lunch has been brought in for the Panel. And we  
23 will reconvene at 12:50 for the AB 617 presentation.

24 Thank you very much.

25 (Off record: 12:25 p.m.)

(Thereupon a lunch break was taken.)

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

1                   A F T E R N O O N   S E S S I O N

2                   (On record: 12:51 p.m.)

3                   CHAIRPERSON ANASTASIO: Okay. We're back.

4 Welcome back from lunch, everyone.

5                   Our third agenda item today is an update on the  
6 implementation of Assembly Bill 617 creating the Community  
7 Air Protection Program. This Panel is specifically listed  
8 in the law as a resource for CARB staff to consult when  
9 implementing the legislation. And as you remember, we  
10 were briefed and discussed -- and we discussed AB 617 in  
11 three of our meetings last year.

12                  Since our last meeting in July, CARB adopted the  
13 program blueprint and made their selections of the initial  
14 10 communities for the program. And they also adopted an  
15 emissions reporting regulation that makes things more  
16 uniform across the state.

17                  Today's presentation and discussion is going to  
18 focus on a few items. One is something we talked about at  
19 our last meeting really defining an ongoing role for the  
20 SRP with AB 617. And then three other topic areas will be  
21 presented for our consideration.

22                  I would like -- this is going to be the time I  
23 was going to remind people that we had a Spanish  
24 interpreter, but we don't appear to have a Spanish  
25 interpreter right now, so we'll just leave it at that, I

1 guess.

2           So next I'd like to turn the meeting over to  
3 Karen Magliano who's the Chief of CARB's Office of  
4 Community Air Protection. AND she's going to present this  
5 agenda item, and she'll introduce the other speakers.

6           Karen.

7           (Thereupon an overhead presentation was  
8 presented as follows.)

9           OCAP DIVISION CHIEF MAGLIANO: Great. Well,  
10 thank you, Cort. And thank you, everybody. I know you've  
11 had a chance to have a quick lunch, and then we will dive  
12 back into this next agenda topic.

13           And in the spirit of interagency collaboration we  
14 have multiple speakers and multiple agencies this  
15 afternoon that will be covering different aspects of the  
16 program.

17           So I'm going to start with just a very short  
18 recap of the program itself, and what's happened in the, I  
19 think, 9 months since we actually last met, and then turn  
20 it over to John Faust from OEHHA to really get into some  
21 of the things that we were talking last time about.  
22 What's that continued role and engagement with the SRP?  
23 We've had several conversations with the Chair and  
24 members, and this is sort of a follow-up to that.

25           And then we'll close with Dave Edwards of CARB

1 and Pam Wofford of DPR to talk a little bit more about  
2 some of the other aspects of the program that are really  
3 going to help provide data to support these areas where we  
4 think we can continue to coordinate with the SRP.

5 So with that, if we can go to the next slide.

6 --o0o--

7 OCAP DIVISION CHIEF MAGLIANO: I just covered  
8 sort of the topic areas that we're going to go over here.  
9 So why don't we go to the next slide.

10 --o0o--

11 OCAP DIVISION CHIEF MAGLIANO: Again a quick  
12 recap. Last September, our Board took a number of  
13 different actions related to the program. The legislation  
14 laid out a very ambitious time frame and specified that we  
15 needed to come back to our Board to really get the program  
16 up and rolling. So one of the first things that they did,  
17 if we go to the next slide --

18 --o0o--

19 OCAP DIVISION CHIEF MAGLIANO: -- is adopted what  
20 we're calling the Community Air Protection Blueprint. And  
21 what this does is layout the broad program requirements,  
22 and, in essence, operating instructions for the program.

23 So that includes both statewide strategies that  
24 both we and the local air districts are embarking on, both  
25 regulatory measures, incentive funding and other kinds of

1 tools and resources that are being developed, but then  
2 also lays out specific criteria for focused action that  
3 it's going to be taken in a number of initial communities  
4 across the state.

5           So the blueprint talks about how do you do that  
6 in partnership with the communities, and strong public  
7 engagement, and community partnerships. It lays out the  
8 process and criteria, which we went through last year, in  
9 identifying those communities, and what we'll be doing  
10 every year, because the legislation actually says that  
11 every year we need to go through another consideration  
12 process to look at the potential for adding additional  
13 communities to the program over time.

14           And then the final two pieces are laying out the  
15 criteria for how you conduct effective community-level air  
16 monitoring, how you define the objectives that you're  
17 looking for, and then given that, what are the kinds of  
18 approaches that need to be looked at with a particular  
19 focus on you're collecting data to support subsequent  
20 action to develop strategies to reduce both emissions and  
21 exposures in these communities. And then, of course,  
22 using that to help develop community emission reduction  
23 programs.

24           And so what the blueprint does is it outlines  
25 sort of the scope of things that need to be looked at, the



1 types of technical information that needs to be developed,  
2 and then the process of working with communities in  
3 pulling together those programs.

4 Next slide.

5 --o0o--

6 OCAP DIVISION CHIEF MAGLIANO: So as I mentioned,  
7 the Board also approved 10 initial communities to launch  
8 the program, which are shown on the map here. The orange  
9 dots indicate the locations of those 10 communities, and  
10 the blue color highlights the local air districts where  
11 those communities are.

12 PANEL MEMBER GLANTZ: So that's happened since we  
13 last met?

14 OCAP DIVISION CHIEF MAGLIANO: That's has all  
15 happened, right. So both beth approval of the blueprint  
16 and approval of these 10 communities has happened since we  
17 last talked to you, I think it was, in June or July of  
18 last year.

19 And the goal here was really to select a diverse  
20 mix of communities across the state, that captured a lot  
21 of different kinds of pollution challenges that we know a  
22 broader set of communities across the state face, with the  
23 idea that these can then serve as models for similar  
24 communities as we grow the program over time.

25 I'm going to talk a little bit more, and with

1 some background, about sort of a high level profile of  
2 these communities later in the presentation, but just  
3 wanted to tee up that this is sort of our starting point  
4 here.

5 And then last slide.

6 --o0o--

7 OCAP DIVISION CHIEF MAGLIANO: And then as I  
8 mentioned, in each of these communities, the air districts  
9 are working with local community steering committees that  
10 they've convened. And these steering committees are  
11 against supposed to represent a mix of different people  
12 from the community. So not only community residents, but  
13 bringing in local government who often has a role in  
14 decision making in processes that affect these  
15 communities, as well as local industry stakeholders,  
16 because we want everyone to be together at the table, as  
17 well as encouraging local public health officials to  
18 participate as well.

19 So just as we had a ambitious schedule for  
20 getting the blueprint and the 10 initial communities  
21 chosen, there's also very ambitious schedules for the air  
22 districts to now work with their communities and develop  
23 these programs.

24 So community air monitoring needs to begin by  
25 July of this year, so really just a few short months from

1 now. And then the community emission reduction programs  
2 need to be taken through the local air district boards by  
3 September of this year, and then they actually come back  
4 to our Board. And then we'll also be going through a  
5 process of continuing to look at the potential for adding  
6 additional communities that we'll be coming back to our  
7 Board with later this year.

8 So that's kind of the broad background context.  
9 And, Cort, I didn't know whether you wanted to pause for  
10 questions or kind of jump into the heart of the  
11 presentation, and then maybe have an opportunity for  
12 questions.

13 CHAIRPERSON ANASTASIO: Yeah. Why don't we save  
14 questions for the end.

15 OCAP DIVISION CHIEF MAGLIANO: Okay.

16 CHAIRPERSON ANASTASIO: But are you finished with  
17 your portion?

18 OCAP DIVISION CHIEF MAGLIANO: I'm finished with  
19 my slides. So now I would turn it over to John Faust of  
20 OEHHA.

21 CHAIRPERSON ANASTASIO: So let me say one thing,  
22 John, before you again. So we have our Spanish  
23 Interpreter. If there's anyone who would like  
24 interpretation, please let us know.

25 (Thereupon the interpreter spoke in Spanish.)

1 CHAIRPERSON ANASTASIO: Okay. Thank you very  
2 much.

3 DR. FAUST: All right. Thank you. Yeah. So  
4 good afternoon. I'm John Faust with the OEHHA, and Chief  
5 of its Community and Environmental Epidemiology Research  
6 Branch. So what I'm going to do is present on some  
7 scientific topics that OEHHA and CARB would like to  
8 advance in the coming years and take advantage of the  
9 expertise of this group where we can.

10 So the presentation I'm going to make largely  
11 follows the material that we've assembled in a short  
12 document that was distributed to you and is available in  
13 the back of the room.

14 --o0o--

15 DR. FAUST: But first, I'll just touch briefly  
16 back on the statutory role for the SRP and the  
17 implementation of AB 617. The law gives the SRP a  
18 consultation role in two different areas. One in the  
19 preparation of an air monitoring plan regarding the  
20 availability of toxic air contaminant and criteria air  
21 pollutant advance sensing monitoring technologies, and  
22 existing community air monitoring systems, and the need  
23 for and benefit of establishing additional community air  
24 monitoring systems, as well as CARB's preparation of the  
25 statewide strategy, both of which you have seen materials

1 from in the past year and Karen just recently touched on.

2 So here, we're proposing to engage the SRP role  
3 in several areas that relate to the efforts currently  
4 underway in AB 617. So this slide identifies briefly sort  
5 of these -- these three scientific topics. And I'll go  
6 into a little bit more detail shortly.

7 --o0o--

8 DR. FAUST: But these areas are, one, health risk  
9 values for contaminants in AB 617 communities, the second  
10 is addressing cumulative exposures in communities, and the  
11 third is tracking community health benefits through  
12 indicators.

13 So for each of these three topic areas, and this  
14 follows the material that was distributed, I'm going to  
15 talk a little bit about the background around why we feel  
16 the topic is important, sort of describe our overall  
17 approach, what some products we could produce which would  
18 be sort of where the intersection of the SRP's role would  
19 be, and a little bit about the timeline at least for this  
20 initial phase, knowing that this is sort of the beginning  
21 of a new phase of the work, where we're going to be  
22 looking to provide -- basically, support for the  
23 information that's becoming available through the program.

24 --o0o--

25 DR. FAUST: So just to go to the first -- first

1 topic area. So the air monitoring and emissions reduction  
2 efforts in the AB 617 communities is going to be  
3 generating extensive data on emissions in the presence of  
4 toxics in California communities. And of these specific  
5 chemicals that are identified, some are likely to be  
6 without existing health guidance values, like RELs or  
7 cancer potencies, or different types of information that  
8 might help make knowledge about levels of exposure  
9 meaningful to regulators and community members.

10 So there's this idea that there's going to be  
11 this gap that needs to be addressed. So we proposed to  
12 prioritize the work following the development of an  
13 inventory. And there's the possibility that filling these  
14 gaps can follow different -- different paths.

15 So, as I said, we prefer to first review  
16 available data sets on the air pollution and their levels  
17 across the selected communities, and then from this  
18 information priority substances will be identified for  
19 further evaluation. And these priorities would be  
20 reviewed by consultation and by public review. So we  
21 intend to have a -- an open process for moving this  
22 forward.

23 So I described two paths for potentially  
24 following up on the evaluation of chemicals. One is to  
25 use current methodologies and processes, much as you've

1 already seen, and, for example, the way you've discussed  
2 this morning, such as the development of reference  
3 exposure levels and unit risk factors for specific  
4 chemicals.

5 But we also foresee a need to be able to provide  
6 information to communities more quickly, if possible. So  
7 we're thinking about sort of another path that provides  
8 expedited methods for addressing or giving meaning to the  
9 levels of pollutants that maybe don't have existing health  
10 guidance values.

11 So, for example, read-across approaches could  
12 potentially be developed when already available data for  
13 data-rich substances are applied to data-poor substances,  
14 which may be considered similar enough to use --

15 PANEL MEMBER GLANTZ: What's a read-across  
16 approach?

17 DR. FAUST: Yeah. So -- so it's -- it's an  
18 overarching concept sort of where -- where we think about,  
19 you know, data-poor chemicals that have some similarities  
20 to more data rich chemicals, for example, structural  
21 similarities, or similarities in known pathways of  
22 toxicity sort of upstream pathways. So this is an area  
23 that we're very interested in developing it further. And  
24 towards that end, for example, OEHHA will be hosting a  
25 symposium this spring on read-across approaches to sort of

1 inferring toxicity from known data sets that could be  
2 potentially applied to more data-poor chemicals, for  
3 example, where there aren't, you know, existing long-term  
4 studies, for example.

5           So it's -- it's thought to be a way of sort of  
6 moving more quickly without weighting for all that  
7 additional information to be developed. And sort of on  
8 this vein, but a separate approach, would be to adopt  
9 values from other programs where we have some prior work  
10 that has been done either by other State programs or  
11 federal programs where there might be a chance to leverage  
12 that information to move more quickly in developing or  
13 looking into health guidance values that we don't have.

14   --o0o--

15           DR. FAUST: So just to touch on the potential  
16 work products. So initially we proposed to produce a  
17 summary of the chemical-specific information that we're  
18 gathering in the AB 617 communities. And then using this  
19 inventory, we'd propose priority substances in the  
20 communities for the development of health guidance values,  
21 as well as the rationale for their selection.

22           And then from there, as a later phase, we'd  
23 propose new or updated health guidance values for these  
24 priority substances. And these would be reviewed by the  
25 SRP and the public as well.



1           So as for a specific role for the SRP, in  
2 addition to the sort of traditional role that you've had  
3 in evaluating documents of the type you've seen this  
4 morning, you could also review the proposed priorities  
5 that we provide -- that we document and provide input as  
6 to whether they're reasonable, and offer suggestions for  
7 adjusting those. And additionally, the Panel could  
8 provide input on proposed alternative approaches and their  
9 adequacy for characterizing potential risks from specific  
10 chemicals.

11           PANEL MEMBER GLANTZ: So if I could just ask a  
12 question. So are you -- so there's -- the role that we've  
13 historically played, as you saw before, is we approve  
14 things. So are you going to be presenting these things  
15 for us to approve or just to look at and give you advice?

16           DR. FAUST: Well, I think there may be an  
17 opportunity for both. I think when we think about sort of  
18 the pathways that chemicals follow, those that sort of  
19 lend themselves to the more traditional approaches, I  
20 think we'd want to put through in that way.

21           For sort of emerging approaches, particularly  
22 those that maybe aren't as explicitly identified in say  
23 existing methodologies, I think we'd want to get your  
24 input on sort of the appropriateness of those approaches,  
25 and any advice you might have on how to make that as

1 strong as possible.

2 PANEL MEMBER GLANTZ: Well, just -- you know, I  
3 mean, this is sort of an ongoing discussion, but -- and  
4 I'm not trying to make more work for us, but the -- you  
5 know, I think one thing to think about is whether -- I  
6 mean -- and I realize you're talking about a much more  
7 expedited process, and one where judgment is playing a  
8 bigger role in some of these things.

9 But you know, it might be worth actually having  
10 the Committee approve stuff to give you sort of an  
11 independent imprimatur. I'm not saying you should, but  
12 I -- you know, looking down the road and the people who  
13 are unhappy with what you come up with, you know, it might  
14 be worth the trouble.

15 I mean, if all we're doing is advising you, I  
16 mean, giving advice is a lot easier than approving things.

17 DR. FAUST: Okay. Thank you. I will definitely  
18 keep that in mind as we think about our options.

19 So just this last column just touches on the  
20 timeline. Karen had already mentioned sort of the  
21 timeline for the implementation of the air monitoring by  
22 July of this year, and the emissions programs getting in  
23 place by October of this year.

24 So we foresee the emissions inventories, for  
25 example, are going to be available this spring. And that

1 we'll be able to begin pulling that information together,  
2 as part of this process of identifying potential priority  
3 substances that we could -- we could bring forward. So we  
4 foresee that we could bring you information that starts  
5 this process by the end of this year.

6 All right. So unless there's other questions on  
7 this first item, I'll just --

8 PANEL MEMBER KLEINMAN: So if we have, you know,  
9 a point where the emissions inventories are pulled  
10 together, that would seem like a good point to get the  
11 Panel involved by, you know, providing the emissions  
12 inventories for the various locations. We could help with  
13 identifying, you know, where the key chemicals are, and  
14 begin to look at where, you know, improved guidance is  
15 needed. And I think that would be a way that we could be  
16 helpful.

17 DR. FAUST: Okay. That would be sort of in  
18 formulating our criteria for what might make a chemical  
19 move to the higher priority.

20 PANEL MEMBER KLEINMAN: Go back to, you know, the  
21 emissions reduction, you know, which emissions reductions  
22 can be, you know, very -- are going to require, you know,  
23 regulations and various things, and, you know, picking out  
24 where to start, and which would have, you know, the most  
25 impacts and that sort of thing. I think we could be

1 helpful with looking at some of that as well.

2 PANEL MEMBER GLANTZ: You know, just to add to  
3 that, I mean, that is a role we've played in the past, in  
4 terms of, you know, prioritizing things for listing with  
5 TACs in that bill that required identification of  
6 substance of particular concern for kids.

7 And so developing the kind of priority lists and  
8 helping come up with the algorithm for doing that is  
9 something we've done before, and where we ended up again  
10 taking a formal action.

11 I mean, the other thing where we might be able to  
12 be helpful, you know, we have developed these technical  
13 support documents which layout the rules for, you know,  
14 doing different kinds of risk assessments, which, in fact,  
15 came up earlier in the discussion about the RELs. And I  
16 mean it may be that going through a process of getting a  
17 formal approval for a new protocol for these -- the kind  
18 of things you were talking about earlier, you know, might  
19 well help you guys out in terms of when people come and  
20 challenge what you're doing.

21 DR. FAUST: That was a good suggestion. Thank  
22 you.

23 --o0o--

24 DR. FAUST: All right. So at this point, I'll  
25 move to the second topic. So this one relates to

1 addressing cumulative exposures in communities. And part  
2 of the selection of the AB 617 communities is the  
3 recognition that these places face burdens from multiple  
4 sources of pollutants in their air. And there are  
5 different types of tools available that have been  
6 developed to assess cumulative risks and impacts.

7 For example, the methodology adopted as part of  
8 the Air Toxics Hot Spots Program enables the consideration  
9 of cumulative risks from multiple pollutants, including  
10 both carcinogens and non-carcinogens, but generally from  
11 singles sources. But this type of approach is data  
12 intensive and requires understanding risks from individual  
13 chemicals or mixtures.

14 Other approaches to evaluating cumulative impacts  
15 have been developed that use both quantitative and  
16 semi-quantitative information about multiple sources of  
17 pollution. Typically, these are applied at a -- to a  
18 geographic area, and the tools results screen to identify  
19 areas facing higher cumulative burdens.

20 So an example of this is OEHHA's CalEnviroScreen  
21 tool, which, through a series of indicators, is used to  
22 characterize different contributions to both pollution and  
23 different types of vulnerability at a screening level. So  
24 with this in mind, we plan to look at the data that are  
25 collected in the AB 617 communities to explore how we

1 might better understand cumulative impacts, as well as  
2 think about assessing and addressing them.

3           And we'll also consider how existing approaches  
4 are able to characterize this type of impact, and what  
5 novel approaches might be developed to more fully or  
6 accurately characterize the cumulative health risks from  
7 pollutants.

8           So in this case, we propose to develop case  
9 studies that could illustrate cumulative risk concerns,  
10 and characterize the extent to which current tools are  
11 able to address cumulative risks. And then following case  
12 studies, we propose to look at potential ways to  
13 supplement or enhance existing approaches to cumulative  
14 impacts analysis. And in this case, these materials would  
15 be brought to the SRP for comment.

16                           --o0o--

17           DR. FAUST: So with respect to timeline, the  
18 proposed work in this area is also dependent on the  
19 emissions inventories that are becoming available this  
20 year. And we'll be talking a little bit more about data  
21 that will becoming available in the coming years shortly.  
22 But it's going to be sort of an ongoing basis that we'll  
23 be learning about these emissions that are occurring in  
24 communities. And as new communities are selected, we'll  
25 be able to have opportunities to think about different

1 situations, in which cumulative risk concerns are present.

2 So the initial materials describing case studies  
3 could be brought to the SRP, as we've gathered this  
4 information as late as -- or as earlier as the end of this  
5 year. And then as a later phase, the SRP would be brought  
6 materials to propose ways of doing enhanced analysis of  
7 cumulative risk and impacts.

8 So I don't if there's any questions on this  
9 particular topic?

10 PANEL MEMBER LANDOLPH: Dr. Faust, so just as an  
11 example, I mean myself and Dr. Besaratinia sit in East  
12 L.A. You know, we work at USC at the Medical Center. So  
13 how is this going to start? Who is the lead on this? Who  
14 puts it together? Who gets it going?

15 DR. FAUST: Well, this would be jointly shared  
16 between CARB and OEHHA. I mean, we certainly have quite a  
17 lot of information available already about describing  
18 burdens in different communities. We know about certain  
19 sources. We know what sort of conditions exist. We have  
20 population measures that tell us something about potential  
21 vulnerabilities.

22 But there's going to be this new layer of  
23 information that takes a closer look in -- in the AB 617  
24 communities, for example, probably at a -- at a deeper  
25 level than we have already. And we'll be starting to look

1 at how that information can be folded in or identified as  
2 new cumulative concerns that we didn't know about before,  
3 or basically it provides information that can illustrate  
4 more plainly what cumulative concerns might be present.

5 PANEL MEMBER LANDOLPH: And you understand you'll  
6 have to be careful, because some of these communities have  
7 not been treated well. You know, particularly the one  
8 where I am where they have Exide not far from there. So  
9 stuff like that can't be allowed to be waived for years,  
10 and years, and years, and years. It's got to be dealt  
11 with, that type of thing.

12 Okay. Thank you.

13 PANEL MEMBER BLANC: Isn't the most challenging  
14 piece of this going to be the impacts part, if what you're  
15 talking about is human health effects data?

16 DR. FAUST: Maybe you could say a little more  
17 about -- you mean, in terms of documenting by health  
18 endpoints?

19 PANEL MEMBER BLANC: Yes.

20 DR. FAUST: Well, that sort of gets to my third  
21 scientific topic that we're going talk about, where  
22 we're -- we're thinking about tracking benefits to  
23 communities from emissions reductions, and what sort -- of

24 PANEL MEMBER BLANC: Well, that would be  
25 prospective, but I understood that you -- part of this was



1 actually documenting what the cumulative impact has been  
2 up to this point or is it only -- you're only intending on  
3 tracking longitudinally changes over time after something  
4 is implemented?

5 DR. FAUST: Well, yeah, you're correct. The  
6 third item is sort of more forward looking and what sort  
7 of studies we can put in place.

8 Yes. The way -- I mean, the way we have looked  
9 at cumulative impacts has been somewhat retrospective, you  
10 know, in that we gather data about what we know about,  
11 sources in communities and how much -- how much -- what  
12 the emissions estimates are in communities.

13 PANEL MEMBER BLANC: No, I -- but aren't -- so  
14 you're not looking at health impacts at baseline, or --  
15 you're only going to -- the only aspect of health -- of  
16 outcomes you're going to look at in terms of population  
17 health is going to be prospectively, because I had  
18 understood on what you just said, that there would be some  
19 element of looking at the cumulative burden.

20 DR. FAUST: Yeah. I mean, we do look at health  
21 data a little bit, sort of -- but more as a vulnerability  
22 factor, such as where there's high levels of -- or high  
23 rates of emergency department visits for asthma. And we  
24 sort of, at least for example for the CalEnviroScreen  
25 tool, we fold that information into what we call the

1 population vulnerability measures.

2 I mean and that's sort of this broad  
3 semi-quantitative way of looking at cumulative impacts,  
4 where you're basically describing places that host  
5 multiple burdens and have potential vulnerabilities.

6 PANEL MEMBER BLANC: So just to follow up, take  
7 the study that Dr. Hammond has been involved in for some  
8 time, the Fresno Childhood Study that John Balmes on the  
9 Air Resources Board is also involved in, are you  
10 conceptually thinking of looking at those data as part of  
11 the baseline what is the state of the health of the  
12 community, since Fresno is one of your communities?

13 DR. FAUST: I think it's possible. I have to  
14 say, I mean, this is sort of the beginning of phase. And  
15 we want to think about what sources of information are  
16 going to be helpful for characterizing those potential  
17 cumulative impacts.

18 PANEL MEMBER BLANC: I think there's also a big  
19 birth cohort in the Oakland area, isn't there, or  
20 Richmond, or includes part of that? I mean, there are --  
21 I'm just curious if it's going to be a matter of what --  
22 you know, what you -- if there's going to be some  
23 systematic way of you all trying to ferret out data that  
24 may already exist in terms of cohorts that are going to be  
25 relevant to your study?

1 I'm not sure, for example, if the USC-based  
2 childhood lung function communities include or are  
3 adjacent to any of the Southern California communities  
4 that you're interested in.

5 OCAP DIVISION CHIEF MAGLIANO: And I will just  
6 say that obviously making that connection directly to  
7 health impacts is a long-term and challenging endeavor.  
8 But what we have been doing in the near term at least is  
9 trying to pull together what are those existing data sets  
10 that are out there, and at least some high level  
11 characterization of, you know, what are the baseline  
12 health vulnerabilities that are in these communities? And  
13 then what John is talking about here is then how do we  
14 sort of start building forward from there?

15 PANEL MEMBER LANDOLPH: I meant to ask on the  
16 first page that you had, the first 10 areas were they  
17 chosen on the basis of uniquely bad toxins and  
18 carcinogens, and bad levels of these, and high exposure to  
19 people? What was the chief criterion that provoked  
20 picking these 10?

21 OCAP DIVISION CHIEF MAGLIANO: They were -- and  
22 I'll go into a little bit more detail after John. But  
23 basically, they are all in the very high percentiles in  
24 CalEnviroScreen, for example, especially when we look at  
25 things like toxic air contaminants, PM2.5, diesel

1 particulate matter. They also all had high levels of  
2 poverty, low income, so sort of that social economic  
3 vulnerability. And then they also all had very high  
4 indicators of health indicators that are often typically  
5 related to air pollution.

6 So within that, then sort of our next tier was  
7 then to look at how do we get a good mix of different  
8 kinds of pollution challenges within all those highly  
9 impacted communities?

10 PANEL MEMBER LANDOLPH: Thank you.

11 --o0o--

12 DR. FAUST: Okay. So I'll turn to the third  
13 topic. So the third one relates to the tracking of  
14 community health benefits that may occur as a result of  
15 emissions reductions. And this is a topic that's already  
16 received a great deal interest across different groups  
17 with respect to both the AB 617 program and the State's  
18 Climate Programs.

19 So potential exposures, doses, and subsequent  
20 health effects can be assessed with different degrees of  
21 ease and reliability. And here, the issue is around both  
22 scientific and data challenges, as well as how studies can  
23 be conducted successfully in communities to demonstrate  
24 benefits.

25 There are some available data and emerging tools,

1 such as data that come from biomonitoring or biomarker  
2 studies that can tell us something about exposures or  
3 changes in exposures. And health outcome data are also  
4 potentially useful for this purpose. So our proposed  
5 approach here, in contrast to the other topics, is to  
6 develop a stakeholder engaged process, and convene experts  
7 in a -- in a public forum or symposium. And here, we  
8 would introduce the relevant scientific topics, consider  
9 challenges, and move towards identifying potential  
10 near-term metrics or measures that may be helpful.

11           So here, we'd like to invite the SRP members to  
12 participate as the -- as experts in their various  
13 disciplines, as part of a group with others, as well as AB  
14 617 community members to consider information on this  
15 topic.

16           So here, the work product, at least in the  
17 beginning, is a public symposium or a forum with  
18 presentations and participation by experts in relevant  
19 fields. And ultimately, we'd like to see how this sort of  
20 effort can be applied in a community environment. So we'd  
21 like to include a focus on approaches that used  
22 community-based participatory research.

23           So some of the topics could include whether  
24 there's potential for the use of biomonitoring or  
25 biomarker studies and data, how health outcome data can be

1 collected and used effectively, and what sort of best  
2 practices can be applied in community-partnered research  
3 efforts conducted by researchers.

4 --o0o--

5 DR. FAUST: So just regarding the timeline, I  
6 think we're thinking, given sort of the emerging data for  
7 this year, this is something that would be initiated in  
8 the early part of next year.

9 PANEL MEMBER GLANTZ: Well, you know this is an  
10 area where I think the research that's been done on  
11 secondhand smoke can be very, very informative, because  
12 there's a quite good literature showing, for example, when  
13 you pass clean indoor air laws, heart attacks drop or  
14 asthma admissions to hospitals, strokes, complications of  
15 pregnancy, you know. And these -- these effects occur  
16 pretty quickly. And it's probably because you're --  
17 people are being subjected to less air pollution.

18 And so I would really look at that literature.  
19 The Surgeon General's report a couple years ago on  
20 secondhand smoke had a pretty good review of that. And  
21 then subsequent to that coming out, there was some really  
22 good meta-analyses looking at complications of pregnancy  
23 and low birth weight.

24 And these are things that all happen within a  
25 couple of months of, you know, passing strong smoking

1 laws. And there's a dose response with the stronger the  
2 law is, the bigger the effects. So, you know -- and also  
3 we did a paper showing ambulance calls dropped. And I  
4 mean the effects are on the order of around 15 to 20  
5 percent changes, which are pretty big.

6 And I realize that in the kind of environmental  
7 clean-ups you're doing, you're talking about the effects  
8 are probably going to be more gradual, in terms of phasing  
9 in rather than of sudden change, which is easier to  
10 detect. But I think looking at the endpoints people are  
11 used in those models. And you might even be able to use  
12 them as predictive models to try to estimate what you  
13 think might happen. There's a pretty well-developed  
14 literature there, some of which I wrote, of course.

15 (Laughter.)

16 PANEL MEMBER GLANTZ: But not all of it.

17 PANEL MEMBER HAMMOND: I have a few points.  
18 Thank you this is helpful to find out where we were going  
19 and what our roles are expected to be. I do think that  
20 the endpoints are going to be difficult and because the  
21 changes are likely to be slow. And if the changes are  
22 slow, then the health outcomes are going to have to be  
23 slow.

24 So I think there is going to be a need to be  
25 realistic with the communities. Clearly, we can't see

1 those health effects. As Stan said, it's a very  
2 interesting counterexample. When you can have a law that  
3 changes things suddenly you can see those effects. We can  
4 have a certain faith that things will happen.

5           Some of the studies we did that Paul alluded to  
6 Fresno though were looking at daily exposures and how  
7 those affect the outcome. And there are, just by seasonal  
8 differences, much higher levels in different seasons for  
9 some of the different pollutants. So some of those might  
10 be ways we could look at, but I think you do have a big  
11 challenge there.

12           I'd like to go back to a couple of your earlier  
13 slides. One is the first issue is you said priority  
14 substances, and you just eluded right over that. How are  
15 the priority substances being chosen, who has chosen them,  
16 what's there?

17           DR. FAUST: Well, that's all -- that would be all  
18 part of the process. I mean, first, is sort of an  
19 information --

20           PANEL MEMBER HAMMOND: But we're going to start  
21 monitoring them in July without even knowing what they  
22 are?

23           DR. FAUST: Well, that -- that process is  
24 already -- that's -- I mean, there's been a separate  
25 process where decisions have been made at the district



1 level.

2 PANEL MEMBER HAMMOND: Well, I mean, this is a  
3 little bit of what I'm finding a little confusing, because  
4 the other piece I wanted to get to is the statutory role  
5 of SRP in all of this, as I understand it, is part of it  
6 is in the monitoring for these and the -- and how we  
7 should be monitoring? And clearly, if that's one of our  
8 roles, and the monitoring is starting soon and we haven't  
9 been involved, I -- we'd kind of like to go back to that.  
10 I don't see the statute -- although I think it's  
11 important, I don't see the statutory responsibilities  
12 being some of these things you're laying out that need to  
13 be done. And I think we have a lot of expertise to help  
14 in those as well, but I think we need to start with what  
15 we're required to do.

16 And the two things you listed were on the  
17 monitoring plan and then the reduction, how to do the  
18 reduction of emissions. So it seems like we should focus  
19 on those first. And I'm concerned that the monitoring is  
20 starting, as far as I know, without identification of  
21 priority compounds and without input from this group as to  
22 what they should be or how they should be chosen.

23 OCAP DIVISION CHIEF MAGLIANO: Good questions.  
24 So the statute, right, lays out that responsibility. And  
25 really what it does is that in developing the pieces that

1 went into the blueprint that our Board approved last  
2 September really wanted us to talk with a wide range of  
3 people including the SRP. So when the statute talked  
4 about monitoring plan, it was really those criteria that  
5 we put into the blueprint last September, like how do you  
6 approach doing monitoring, and how do you approach doing  
7 the emission reduction programs?

8           This is now moving into work -- the community is  
9 working with the local air districts. And part of what  
10 we've done there is -- because sometimes, yeah, there is  
11 going to be a paucity of existing information, that  
12 they're really looking at what are the types of sources,  
13 and things like that, what's the type of information  
14 they're trying to collect better information on? And that  
15 can be a whole range of different things.

16           PANEL MEMBER HAMMOND: I mean -- I guess -- I  
17 mean, I'm looking at being told that there are these two  
18 statutory responsibilities. And this first one that we're  
19 talking about sounds like the train has already left the  
20 station, that the decision is already being made without  
21 having consulted us. I mean, you know, I'm not going to  
22 go home and cry. But the question is whether are we still  
23 responsible for this? Is this something we should think  
24 about? I'm thinking about the HDI we talked about this  
25 morning.

1 OCAP DIVISION CHIEF MAGLIANO: Um-hmm.

2 PANEL MEMBER HAMMOND: Is that something we  
3 wanted to started monitoring in certain places? Would we  
4 think about that? Would we want to say, as we think about  
5 what's -- we want to look at, at least use the rich  
6 database of all the hot -- you know, the hot spot data  
7 where you set standards already. We have some way to  
8 interpret all that work as done. You know, where we have  
9 emissions data, where we have some information to start  
10 looking more carefully, that's at least part of what I  
11 think about. But I think it's also good to think beyond  
12 that.

13 But I'm not saying what you've done is wrong, but  
14 I'm just thinking I feel like if we're supposed to be  
15 consulted on that and be talking about that, we haven't  
16 done that. And it's -- it's -- if the sampling is going  
17 to start in the summer, the monitoring, it's kind of late.

18 OCAP DIVISION CHIEF MAGLIANO: Right. And part  
19 of what we realized as we were going through this is that  
20 because each community is so different, we didn't want to  
21 prescribe a certain set of chemicals that every community  
22 needed to monitor. We instead approached it by setting up  
23 a thoughtful process that they needed to go through to  
24 look at what they were interested in monitoring.

25 So what we're seeing now is that we go forward as

1 they start collecting more information, whether it's  
2 through monitoring or the new emissions reporting data  
3 that's coming forward, we are going to have that much  
4 richer database that we then think it would be extremely  
5 useful to come back to this group in terms of continued  
6 engagement, both whether it is along the lines, as John  
7 was saying, we've -- we have our long-standing set of  
8 chemicals we've looked at, but some of those may not have  
9 health risk values. And what we're seeing now in these 10  
10 communities may help us better prioritize where to focus  
11 our efforts, but it could also lead to guidance on these  
12 are really important chemicals and perhaps they are ones  
13 that should be monitored more broadly in some of these  
14 communities as well.

15           PANEL MEMBER BLANC: So if I could follow up on  
16 this specifically. Well, let's say east L.A. community  
17 input decides that they want to measure formaldehyde,  
18 because they've heard that formaldehyde is so terrible,  
19 but they're not measuring acrolein at all. So that's  
20 final. Just go ahead and not measure acrolein, but  
21 they'll measure formaldehyde?

22           OCAP DIVISION CHIEF MAGLIANO: Well, part of this  
23 is bringing together, not only the interests of the  
24 communities, but also the expertise that CARB and the  
25 local air districts bring in understanding sort of suites

1 of chemicals that you really want to be looking at  
2 together. And so part of that is putting together  
3 monitoring plans that help define what should be looked  
4 at. And it's an opportunity to say, perhaps, it's this,  
5 plus something other --

6 PANEL MEMBER BLANC: But that must have already  
7 happened based on -- what you're saying is that -- that  
8 part has already happened. So what is -- what are there  
9 for the things that they have decided to monitor, since  
10 they're about to go into the field?

11 OCAP DIVISION CHIEF MAGLIANO: Given the very  
12 quick time frame, they are actually still in the process  
13 of figuring out what it is that they're going to be  
14 monitoring for. That's happening actually in the next  
15 couple of months, or so.

16 PANEL MEMBER BLANC: And are you planning  
17 therefore on -- in those two months -- in that two-month  
18 window for us to provide you any feedback? Is that part  
19 of what you guys are thinking?

20 OCAP DIVISION CHIEF MAGLIANO: We had not  
21 contemplated that, but it was certainly something that if  
22 you think it would be useful to come back to this group  
23 and give a report about what kinds of things that they are  
24 looking at monitoring for, we'd be happy to do that. This  
25 is also something that's probably an incremental program.

1 So many of them will be beginning monitoring in July, but  
2 we know that they will probably likely continue to work on  
3 those efforts. And there may be important information  
4 from this group that could help further guide how they can  
5 continue to look at it, as well as communities beyond the  
6 initial 10.

7 PANEL MEMBER KLEINMAN: But the communities, you  
8 know, that have been chosen, they were chosen on the basis  
9 of specific problems?

10 OCAP DIVISION CHIEF MAGLIANO: Um-hmm.

11 PANEL MEMBER KLEINMAN: And so they got some  
12 guidance about how to -- you know, what monitoring they  
13 were going to start to implement. And so if it's a  
14 particulate matter problem, they're getting monitors, and  
15 learning how to use them, and figuring out where to deploy  
16 them. But I think what would be very, you know, timely,  
17 since that step has already been done, if that information  
18 got consolidated into a report --

19 PANEL MEMBER HAMMOND: Um-hmm

20 PANEL MEMBER KLEINMAN: -- each -- each of the  
21 ten communities. We picked it because of this. This was  
22 the initial approach to developing the monitoring. This  
23 is the deployment schedule, and these are the toxic  
24 release inventories that support all that. And I think we  
25 could take, you know, these things a couple of communities

1 as a time and really look at them, and then perhaps have  
2 some insights into how to synergize that data. You know,  
3 what can we learn that's going to, you know, apply to a  
4 much broader cut?

5 So, you know, if that's possible, I -- you know,  
6 I'd really encourage, you know, that sort of interaction  
7 with the Panel.

8 OCAP DIVISION CHIEF MAGLIANO: No. I think that  
9 would be an excellent idea. And I think that's kind of  
10 what we're seeing as how we approach all of these, is that  
11 we're going to learn a lot from these, and then how do we  
12 take that to become best practices as we move forward?

13 PANEL MEMBER HAMMOND: Yeah. Thank you. And I  
14 agree both with Paul and Michael. I think -- and with you  
15 as well, I guess. I strongly support the idea of the  
16 community-based participatory research. I think this is  
17 great. I think it's good to come from the community. But  
18 I don't think it's fair to ask them to just, on their own,  
19 come up with all of this. And the idea should be in  
20 consultation. We should be of service to them to help  
21 them.

22 And that's, as Paul was suggesting, as one  
23 example of some of that. Another example might be the  
24 idea of there might be some suite of things that will be  
25 monitored across all 10 communities at the same time as

1 there are others that would be specific and trying to work  
2 on things like that.

3           But do you actually have documents now where  
4 you've laid out for the communities, following up on  
5 Michael's question, each community a tentative list of  
6 what they're going to be doing -- looking for and  
7 monitoring, because acquiring the equipment to do the  
8 monitoring and setting that up takes time. And it seems  
9 like we're running into this -- so if there is such a  
10 list, and if that's -- is it the local air districts and  
11 the community that have come up with these things, and do  
12 you want any overall State, I mean, as such as you all are  
13 working on, where are we there?

14           OCAP DIVISION CHIEF MAGLIANO: Sure. So the way  
15 that we've established it is that, yes, the local air  
16 districts work with the communities, because they each  
17 have expertise to be able to bring to what they're trying  
18 to understand and then the most appropriate ways to  
19 collect that information.

20           And so, as I mentioned, they're right in that  
21 stage now of sort of matching those two pieces of  
22 information together. Given the very short time frames,  
23 what many of the local air districts have done is they do  
24 have a portfolio or a suite of different kind of  
25 monitoring equipment and technologies that they have, that



1 they can be able to deploy in these communities, because  
2 it does take time to do purchasing, and things of that  
3 nature.

4 PANEL MEMBER HAMMOND: So two comments on that.  
5 First, we have to be careful not looking for the keys  
6 under the lamp post, right? So we know how to measure  
7 these things, so we'll measure these things, and we'll  
8 keep measuring what we've measured. And I think we want  
9 to do some of that. I think we do.

10 But the question is -- and this should be coming  
11 from the community, what are some things we need to be  
12 doing that we haven't been doing, or also just stepping  
13 outside of, you know, the 1970 criteria pollutant kind of  
14 issue.

15 And then secondly, I'm sure you're aware of this,  
16 but there are communities, some on this list that I happen  
17 to know, that are highly distrustful of their local air  
18 district. And therefore, if there were something coming,  
19 if you all have a presence, that there's a sense that  
20 there's something else beyond the district that they can  
21 rely on, I think that that would enable a lot of faith in  
22 the State government and in the sense that, as a state,  
23 we're working towards something.

24 OCAP DIVISION CHIEF MAGLIANO: Right. And you're  
25 absolutely correct, there's a lot of relationship building

1 that's taking part of this program. And that is part of  
2 having CARB establish the overall framework. But, you  
3 know, in addition to just having the smaller geographic  
4 scale of communities, it is trying to approach it very  
5 differently than we have our traditional criteria  
6 pollutant, toxic air pollutant programs.

7           And so the range of technologies that might be  
8 used also reflect that, everything from, you know, in some  
9 cases, the lower cost sensors, which can provide some  
10 better information on spatial variability, but also new  
11 mobile monitoring techniques, a lot of the new optical  
12 sensing kind of techniques. So trying to bring a lot of  
13 different kinds of methods to bear in terms of collecting  
14 this more granular data to support the program.

15           PANEL MEMBER HAMMOND: Again, I -- I think I  
16 applaud some of that, but I'm sure you at CARB are aware  
17 of there are these problems -- some of the mobile things  
18 are -- the errors in some of these monitoring equipment  
19 can be greater than the spatial variabilities that you're  
20 trying to determine.

21           So if your measurement is plus or minus 20, with  
22 the spatial variability is plus or minus 10, you know,  
23 you're not going to capture it. And -- but you think you  
24 have. So I think that it is important that -- that we're  
25 aware -- we're balancing all those things through there.

1 I mean the science still has to be done in the  
2 midst of responding to the needs of the community and what  
3 their desires are.

4 OCAP DIVISION CHIEF MAGLIANO: And it's  
5 understanding what the data can tell you and what it can't  
6 tell you. And that's all part of the trying to enhance  
7 our communication with each other as well as part of this  
8 process.

9 DR. FAUST: Okay. Well, I don't have anything  
10 else regarding the presentation. I mean, I think we've --  
11 we've had discussion as we go. I don't know if there's  
12 any other follow-up questions regarding scientific topics  
13 that we'd like to bring up now.

14 PANEL MEMBER HAMMOND: On the health indicators,  
15 which were not part of our role, but I think there's a lot  
16 of expertise in this room on that, I think, again, there  
17 may be a -- one might think about balancing the needs of  
18 the community and the particular -- they may have  
19 particular health things, but it's worthwhile to make sure  
20 that the pollutants that are present are suspected of the  
21 contaminants of causing certain things. And they may not  
22 be aware of some of those relationships. It probably  
23 again is worthwhile having some across-the-board things  
24 that are measured, like what's in CalEnviroScreen,  
25 pre-term birth, or asthma admissions, and then having

1 community-specific outcomes of some sort.

2           The biomonitoring is actually the -- you know the  
3 State is doing a lot of that as well. Is that going to be  
4 actually brought into this? Is that partnered to this?

5           DR. FAUST: Well, I think in the future, we might  
6 have the potential to do that kind of thing. It's sort of  
7 in its early phases of considering. But we do see a role  
8 for that type of information to be helpful in this  
9 context.

10           PANEL MEMBER HAMMOND: I think it's important  
11 that we are clear that biomonitoring is monitoring  
12 exposures, if that's what we're really looking at as  
13 distinct from outcomes. I mean, there is a gray zone. It  
14 passes through, but something -- some biomonitoring will  
15 be outcomes, but most of it I think that we're doing now  
16 tends to be exposure related.

17           And so I would rather not have people be in a  
18 panic because they have a detectable level of chemical X  
19 3,4,5-trimethyl chicken wire is in blood. Oh, my God.  
20 What does that mean? But at the same time, you know,  
21 being respectful in looking at that.

22           So I think thinking that through and being clear  
23 is this an outcome -- a health outcome, is it an exposure,  
24 or is it in that area of transition?

25           DR. FAUST: Okay. Thank you. Important point.

1           PANEL MEMBER BESARATINIA: I have a more general  
2 question with regard to community engagement. The way  
3 that you're engaging the community, perhaps it was  
4 covered. I wasn't here in previous meetings. I'm  
5 wondering, members of the community or representatives of  
6 the community that are included into your programs or  
7 engaged in this, are they working -- are they going to  
8 work on a volunteer basis or are there incentives or some  
9 sort of compensation for them that kind of engages them  
10 and motivate them to participate in these program?

11           The reason that I'm asking is at our university,  
12 USC, many of our research programs rely heavily on  
13 communities. And we have noticed that the participation  
14 and engagement of the community greatly increases once  
15 there is some sort of, you know, incentives for them. And  
16 it helps the research to move greatly.

17           So I'm just wondering is -- if this is included  
18 into your program? Of course, that's all dependent  
19 whether or not there is budget for that.

20           OCAP DIVISION CHIEF MAGLIANO: So right now, the  
21 community steering committees, which include both  
22 community members and other organizations is all on a  
23 volunteer type of basis. We certainly recognize that  
24 that's a big commitment of their time to be able to do  
25 that. So there's always going to be that balance there.

1 If there's lots of meetings and information to get  
2 through, but you don't want to place too large of a burden  
3 on the community members.

4 But in parallel to this, the Legislature has  
5 allocated some funding to provide grants to  
6 community-based organizations, just more broadly, even  
7 beyond these 10 communities to help community-based  
8 organizations, tribal organizations better participate in  
9 the program. So in some cases, these grants are going to  
10 organizations to develop their own monitoring. But in  
11 other cases, they are educational elements or ways that  
12 they can help their community members better participate  
13 in the program. So it's kind of a combination overall.

14 Sure. So we just had -- in the interests of  
15 time, I think we can go through this fairly quickly, some  
16 additional background information that will help feed into  
17 the things that John was just talking about. And you had  
18 mentioned Dr. Landolph about, you know, how did we select  
19 these communities overall? And as I had mentioned, if we  
20 can go to the next slide --

21 --o0o--

22 OCAP DIVISION CHIEF MAGLIANO: -- there are many,  
23 many communities across the state that are highly  
24 burdened. So really, what we looked at was trying to get  
25 a mix of them with a lot of different kinds of pollution

1 sources, because it helps us develop a mix of pollution  
2 strategies, as well as getting a range of different air  
3 district and community-based organizations involved in the  
4 program.

5 And the number 10 that we selected really was  
6 also consistent with the resource -- funding resources  
7 that were available to make sure that we felt we could be  
8 successful in this first 10.

9 So as we'll -- as John talked about and Dave and  
10 Pam will talk about, there's a lot of more detailed  
11 information that's in the process of being developed for  
12 these communities, in terms of technical information on  
13 community-level emission inventories that we'll be able to  
14 bring back to this group.

15 But what I wanted to do was just give a really  
16 high level overview of some of the sources in these  
17 different communities, and that's kind of what led to this  
18 set of 10 that we selected.

19 --o0o--

20 OCAP DIVISION CHIEF MAGLIANO: So if we start --  
21 if you remember the map, I'm going to go from north to  
22 south in the state.

23 In the Bay Area, it was West Oakland and  
24 Richmond. You know, both of these have port-related  
25 communities in them. There's a lot of impacts from

1 freight. We hear a lot about truck impacts, particularly  
2 in West Oakland. In Richmond, it added another dimension  
3 of you have large oil refineries as well. And so we were  
4 able to also look at some of those impacts of large  
5 stationary sources in that can community.

6 --o0o--

7 OCAP DIVISION CHIEF MAGLIANO: Then we go to  
8 Sacramento, a little bit different. The community is  
9 South Florin, which is just a few miles down Highway 99  
10 from here. And this is your more traditional sort of  
11 commercial, light industry, residential community, but  
12 they're also impacted by the traffic that flows by on  
13 Highway 99.

14 --o0o--

15 OCAP DIVISION CHIEF MAGLIANO: Next, we go into  
16 the valley. Here, we were able to get a mix of both -- a  
17 urban community in South Central Fresno, as well as a more  
18 rural community. Shafter is in sort of the southwest  
19 portion of Kern County in the San Joaquin Valley. So  
20 you've got the more urban, residential, light industry  
21 traffic mix in Fresno. Shafter, they have concerns about  
22 oil and gas operations, and concerns about pesticides come  
23 up very frequently in this community as well.

24 --o0o--

25 OCAP DIVISION CHIEF MAGLIANO: Then if we move to



1 South Coast, there are three communities here, which span  
2 from sort of the Wilmington, West Long Beach, Carson area  
3 along the coast, very close to both freight operations,  
4 but also oil refinery complex. Then you move inland to  
5 East L.A., as many of you were just talking about. Again,  
6 still impacted by the freight industry, rail, as well as a  
7 lot of light industry kind operations. And then moving  
8 further inland into San Bernardino, the community of  
9 Muscoy, where there's a lot of concern about the  
10 proliferation of warehouses, distribution centers, and  
11 then associated freight traffic.

12           So in the South Coast it kind of provides a nice  
13 grouping of three communities that capture the full flow  
14 of the freight industry in the region from the coast  
15 moving goods inland into the San Bernardino area. So  
16 there will be a lot of connectedness of the strategies  
17 that are developed.

18                           --o0o--

19           OCAP DIVISION CHIEF MAGLIANO: Imperial County,  
20 another more rural situation. This community actually  
21 extends from Calexico on the border up through a small  
22 rural community of Heber and then up to El Centro. So  
23 again, the different range of kinds of pollution impacts  
24 in this community.

25                           --o0o--

1           OCAP DIVISION CHIEF MAGLIANO: And then the last  
2 one is a group of communities in San Diego. They're  
3 called the Portside Environmental Justice Communities.  
4 And so it includes Barrio Logan, National City, Sherman  
5 Heights. These are a series of communities that all  
6 border the port area in San Diego. They also have a lot  
7 of light industry impacts. I don't know if many of you  
8 recall the work that was done in the early 2000s in Barrio  
9 Logan that really highlighted a lot of the impacts from  
10 chrome plating and Chrome VI, for example. This is a  
11 chance to go back there and see how things are doing.

12                           --o0o--

13           OCAP DIVISION CHIEF MAGLIANO: So that's kind of  
14 the quick overview of sort of the range of kinds of  
15 sources. That will obviously translate into inventories  
16 of specific substances, both in the inventory and the air  
17 monitoring.

18           So what I wanted to do next is turn it over to  
19 Dave Edwards and then Pam from DPR to talk a little bit  
20 more about the extensive work that's going on to also  
21 collect better emission inventory data that will really be  
22 critical to the program.

23           AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Great.  
24 Thanks, Karen.

25                           --o0o--

1           AQPSD ASSISTANT DIVISION CHIEF EDWARDS: And,  
2 yeah, thank you for having me present today.

3           So what I wanted to do is to give a general  
4 overview of what we've been working on with respect to the  
5 emissions reporting statute requirements that were in AB  
6 617 and where we're at with the development of that  
7 regulation at this point.

8           So as John was really alluding to in his portion  
9 of this presentation, the inventory really is a foundation  
10 for many different programs that we have at both the Air  
11 Resources Board and many other State agencies. They  
12 really do rely on the inventory data.

13           A couple of years ago, a sort of precursor to AB  
14 617, AB 197 passed, where it basically had us look at an  
15 integrated approach to emissions data, looking at GHG  
16 criteria and toxics air contaminants data to be able to  
17 establish trends across time, and particularly looking at  
18 the mandatory reporting facilities.

19           And what sort of I think came as an outcropping  
20 of this is that we started to look at how we present  
21 inventory in a more broader visually accessible method,  
22 really increase the data transparency and public right to  
23 know.

24           And so when we did this, looking at the --  
25 particularly in some of the emissions data that we had, we

1 began to see a lot of different gaps that were appearing.  
2 And the main reason being is that historically,  
3 particularly on the criteria side, you're looking at maybe  
4 a SIP, so a one-year planning inventory, or on the toxics  
5 side with the AB 2588 Program, you're looking at data  
6 coming in maybe once every four years, annually in cases  
7 of some larger facilities, but very inconsistently across  
8 the state.

9           And then you overlay that with the air districts,  
10 particularly for the stationary sources, do collect the  
11 data separately, and there's 35 of them. So there was  
12 conceivably 35 different ways that emissions were  
13 estimated and calculated.

14           So with AB 617, came into statute requirements  
15 for annual emissions data reporting for criteria and  
16 toxics for stationary sources. So this can sort of begin  
17 to fill in some of the gaps for trend analyses, where  
18 maybe we were getting data points every three or every  
19 four years historically.

20           Looking at also developing unified statewide  
21 reporting, so method consistency, emission factor  
22 consistency across the state. Looking at also being able  
23 to collect other relevant facility-level data, so location  
24 information, very detailed information about stack  
25 heights, stack parameters, which are really integral for

1 doing a lot of the analysis, such as cumulative exposure  
2 burden analysis, et cetera.

3 And then also it did provide some options for us  
4 for data certification or verification.

5 --o0o--

6 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: So in  
7 December, we went to the Board to get our regulation  
8 approved. There were six main -- sorry, there were three  
9 main applicability requirements in the regulation, and  
10 then a fourth to help support community inventory  
11 development in the AB 617 communities.

12 The regulation was adopted by our Board in  
13 December. However, they did direct us to have a 15-day  
14 change or a make some modifications before sending it and  
15 getting it approved through the Office of Administrative  
16 Law.

17 And the main one of these is to modify the  
18 applicability criteria. What we had originally was sort  
19 of a community-specific approach, where we were looking at  
20 sources within AB 617 community boundaries. The direction  
21 that we did receive was to expand that to a more statewide  
22 perspective to not only be able to assess what is going on  
23 in the AB 617 communities, but also across the state. And  
24 then also we're looking at some revisions to definitions,  
25 some of the reporting requirements and contents.

1           The -- so this is sort of where we're at right  
2 now, and we'll talk a little bit about next steps in a  
3 second.

4                               --o0o--

5           AQPSD ASSISTANT DIVISION CHIEF EDWARDS: So I did  
6 want to, after giving that overview, at least sort of look  
7 at the main regulatory elements that is in the regulation.  
8 So we have the applicability. And this was our main  
9 thrust. We realized that getting method consistency,  
10 particularly in some of the more broader -- larger more  
11 complex sectors like a refinery or cement plant will take  
12 time. So we're looking at a phased-in approach for  
13 implementation.

14           And the first one that we really wanted to tackle  
15 was who is actually subject to this reporting regulation,  
16 who needs to report the data on an annual basis of their  
17 criterion toxics.

18           The second piece we wanted to address was the  
19 reporting requirements, so to at least set up a system  
20 that has some common emissions data reporting  
21 requirements, add a little bit on the data transparency.  
22 So we do have requirements now that a facility or an air  
23 district must report the emission factors used and the  
24 methods used to quantify the different types of emissions  
25 that we're seeing.

1           And then also acknowledge that this is a very  
2 collaborative partnership between CARB and the air  
3 districts, as they do have that more feet on the ground  
4 and have a knowledge of the stationary sources.

5                           --o0o--

6           AQPSD ASSISTANT DIVISION CHIEF EDWARDS: So where  
7 we're at moving forward. We've been working the past few  
8 months, since our December Board meeting, with different  
9 community representatives, air districts, an industry  
10 stakeholders to propose some different ways to address the  
11 applicability at a statewide level, as opposed to a more  
12 specific community level.

13           I can say what we're sort of moving towards --  
14 our original proposal was looking at all permitted sources  
15 within a community boundary that was as established by the  
16 steering committee. What we've expanded to is a criteria  
17 threshold of 4 tons of a specific criteria pollutant  
18 emissions, and then also a series of sector-based toxics  
19 thresholds, where there's either a zero threshold for  
20 bringing that sector in, such as metal plater that emits  
21 chromium or cadmium to -- to throughput based activities,  
22 such as a gas station emitting 25 -- or sorry, selling  
23 25,000 gallons of gas station a year or more, or an auto  
24 body shop that has -- that uses 30 gallons of paint, for  
25 example.

1           So we are -- we're -- what we're looking at here  
2 is a way that -- to establish thresholds that protect  
3 public health, but also bring in the statewide perspective  
4 to this emissions reporting regulation.

5           And the reason I was able to mention those is  
6 that we actually just posted our draft 15-day reg text  
7 about 20 minutes ago.

8           (Laughter.)

9           AQPSD ASSISTANT DIVISION CHIEF EDWARDS: So what  
10 we're doing, it's a -- broader than just a regular 15-day  
11 process, for those of you familiar with it. We're  
12 actually conducting workshops starting tomorrow through  
13 the end of next week, five across the state. We'll  
14 basically be going over what we're calling our draft  
15 potential 15-day change reg text. We'll be looking for  
16 feedback at these five workshops regarding the thresholds,  
17 the types of sectors that we're bringing in, with the idea  
18 that we'll get some informal comments working with  
19 different stakeholders across the state.

20           And then in -- sometime in the spring have our  
21 formal 15-day comment package where there's formal  
22 comments et cetera, and then a second 15-day, if we need  
23 to.

24           And then the idea is by the end of the year,  
25 hopefully have approval by our Office of Administrative



1 Law for this regulation to go into effect.

2 Thank you.

3 PANEL MEMBER GLANTZ: Well, so as Kathy was --  
4 and Mike were talking about earlier, I mean, this list of  
5 what those things to be monitored are is a really key  
6 decision. I mean, do you envision any role for us in all  
7 of that?

8 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yeah. I  
9 think the -- as far as the -- in the sort of  
10 implementation part of the day-to-day collection of the  
11 data, probably not. I think once we actually begin to get  
12 this data coming in to be able to potentially synthesize  
13 it and sort of we can present that type of information to  
14 you. And then I think how that then would be able to  
15 inform some of the items that John is talking about.

16 PANEL MEMBER GLANTZ: But there's the question of  
17 what do you measure?

18 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: So as --  
19 as far as what is -- what is being measured, I mean we do  
20 have the sort historical knowledge of what types of  
21 pollutants the -- these different sectors emit over time.  
22 This is more of an emissions inventory, not so much of a  
23 monitoring type of regulation. So it's looking at the  
24 emissions -- the estimated emissions that are occurring  
25 from a facility over a given year time period as opposed

1 to what the ambient concentration is at a given time. So  
2 we do hope that this will help inform some of the  
3 processes. I hope that clarifies.

4 PANEL MEMBER HAMMOND: Maybe -- may I ask a  
5 question following up on that?

6 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yes.

7 PANEL MEMBER HAMMOND: So are -- if I have a  
8 facility, is it the same reporting requirements as the  
9 Toxic Release Inventory or how does it differ from that?

10 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: So,  
11 yeah. Okay. That's --

12 PANEL MEMBER HAMMOND: Is it supposed to be  
13 all-encompassing, everything I emit besides H2O and CO2 or  
14 what?

15 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yeah.  
16 So in the near term, I mentioned earlier it's sort of a  
17 phased process. So in the near term it will be -- the  
18 reporting of air toxics and criteria will be based on what  
19 the air district requires right now. So if there's a  
20 specific set of toxics or a source test requirement, that  
21 will be -- that will be able to stay in place.

22 Over time, as I mentioned, we will be forming  
23 work groups on establishing with air districts, and  
24 industry, and others a set of consistent methods that need  
25 to be followed at a statewide level.

1 PANEL MEMBER HAMMOND: I guess I misunderstood  
2 you earlier. I thought you had said something about toxic  
3 air contaminants that have to report -- if -- do they have  
4 to report if they emit any of the toxic air contaminants?

5 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yeah.  
6 We're basing the list of toxic air contaminants on the AB  
7 2588 Hot Spots Appendix A1 list, which is about 450 air  
8 toxics.

9 PANEL MEMBER HAMMOND: So do -- so does that mean  
10 that these 10 communities -- in these 10 communities, all  
11 facilities that emit will be required to basically report  
12 on those 450 compounds, and it might be zero for a lot  
13 of -- for most of them.

14 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yes.

15 PANEL MEMBER HAMMOND: But they actually will be  
16 required to report zero in that case, is that correct  
17 or -- I mean --

18 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yes.

19 PANEL MEMBER HAMMOND: -- are they going to have  
20 to report for each -- there's a list of hundreds of  
21 compounds.

22 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: So  
23 they're not going to have to do an actual -- like let's  
24 say it's a gas station, for example. So they're not going  
25 to have to go through that whole list of 450 toxic

1 compounds. There's a -- there's a list of maybe three  
2 or -- three to five or so that would be relevant to that.

3 PANEL MEMBER HAMMOND: You would -- who will  
4 decide what's relevant, the gas station, the air district,  
5 or CARB?

6 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: At this  
7 point, it's the air district. And moving forward, it will  
8 be a -- we will be updating our reg. It would be more of  
9 a CARB/air district/stakeholder process to determine that.

10 PANEL MEMBER HAMMOND: I was just going to ask  
11 where the community came in there.

12 AQPSD ASSISTANT DIVISION CHIEF EDWARDS: Yes.

13 OCAP DIVISION CHIEF MAGLIANO: The other thing,  
14 just to clarify, as Dave said, initially we were thinking  
15 of doing this only within the 10 communities. But based  
16 on discussion at our Board meeting and public testimony,  
17 we have actually expanded that scope, so that we will be  
18 getting this better information not only in those 10  
19 communities, but those sources throughout the state over a  
20 phased-in schedule.

21 CHAIRPERSON ANASTASIO: Okay. Thank you.

22 We have one more.

23 OCAP DIVISION CHIEF MAGLIANO: One more. Pam  
24 comes to the -- as I mentioned, you know, information  
25 about pesticides emission inventory is a companion to the

1 work that Dave is doing. So Pam has a few slides to talk  
2 about what those efforts are as well.

3 --o0o--

4 DPR ENVIRONMENTAL MONITORING BRANCH CHIEF

5 WOFFORD: Hi. Glad to be here. Closer. Is that better?

6 PANEL MEMBER GLANTZ: You can pull the mic  
7 closer.

8 DPR ENVIRONMENTAL MONITORING BRANCH CHIEF

9 WOFFORD: So I don't have to like lean forward  
10 completely.

11 Thank you.

12 My name is Pam Wofford. I am the Branch Chief  
13 for the Environmental Monitoring Branch within the  
14 Department of Pesticide Regulation. And I was asked to  
15 come give a brief -- very brief overview of some of the  
16 information that is available on the pesticide use within  
17 the State of California, and also some of the proposed  
18 ideas we have on pesticide emission inventory.

19 --o0o--

20 DPR ENVIRONMENTAL MONITORING BRANCH CHIEF

21 WOFFORD: Thank you.

22 The Pesticide Use Report database actually was  
23 started in 1990 upon regulations of a full reporting of  
24 all pesticide applications in California.

25 Currently, there's about 81 million records in

1 this database. And those are kind of separated out by two  
2 different sets of information. The first sets of  
3 information include what most people think of as  
4 agricultural use. That's use on orchards, on field crops  
5 and vegetable crops. And that's about 80 percent of the  
6 information in the database. And that is actually  
7 reported per application. So we have extensive data on  
8 each application that actually occurs in the state since  
9 1991.

10 In addition to that, another 20 percent of the  
11 database is made up of what we call our non-ag or  
12 non-production ag applications that are made to livestock,  
13 post-harvest applications, structural applications,  
14 landscape, golf courses, and rights of way. And both of  
15 these different records are pretty different in what they  
16 provide for information. So go ahead.

17 --o0o--

18 DPR ENVIRONMENTAL MONITORING BRANCH CHIEF

19 WOFFORD: And as you can see, the production ag reports,  
20 as I said, are on each application.

21 PANEL MEMBER GLANTZ: Back up.

22 DPR ENVIRONMENTAL MONITORING BRANCH CHIEF

23 WOFFORD: Oop, yeah. Back up. And so for each of those  
24 records for each application that's made -- oh, it's got a  
25 time thing on it.

1           You hit -- thank you.

2           Oop.

3           PANEL MEMBER GLANTZ: Go in and turn the timer  
4 off.

5           DPR ENVIRONMENTAL MONITORING BRANCH CHIEF  
6 WOFFORD: Go back. Thank you.

7           So for -- like I said, for each record on the  
8 applications for production ag, you get the product  
9 applied, the crop it was applied to, the amount applied,  
10 the date and time of application, the application method  
11 as far as if it's aerial, ground application, or other,  
12 the acres treated and also the location of the application  
13 down to the public land survey section area, which is a  
14 mile by mile.

15           For the other 20 percent of those records, it's  
16 more of a summary data. And that comes, it will give you  
17 the product and crop, but the total amount by the -- for  
18 the month, and for the acres by the month. And that's  
19 just down to the county. So those are more the summary of  
20 those applications that are used more for, like I said,  
21 landscaping and livestock and the other applications.

22                           --o0o--

23           DPR ENVIRONMENTAL MONITORING BRANCH CHIEF  
24 WOFFORD: So with this said, DPR has a massive data that  
25 is available on the use of pesticides. But for the

1 consideration under the community programs under AB 617,  
2 the use of the actual reports would not actually reflect  
3 emissions. As you all know, a pound of pesticide applied  
4 did not necessarily mean a pound of pesticide emitted.

5 So that would require the Department to -- if it  
6 was going to do an emissions inventory, to actually  
7 develop emission factors for each active ingredient that  
8 is registered and by application method. The emission  
9 factor actually just that fraction of the active  
10 ingredient that would be coming off of an application due  
11 to drift or volatilization.

12 Once we determine those emission factors, they  
13 could be put back into the pesticide use for each record,  
14 and actually multiplied to the pounds used for that AI and  
15 that method to actually come up with the emissions  
16 inventory that would be more appropriate for use for  
17 looking at that.

18 PANEL MEMBER GLANTZ: Do those emission factors  
19 exist?

20 DPR ENVIRONMENTAL MONITORING BRANCH CHIEF  
21 WOFFORD: I will get into that.

22 PANEL MEMBER GLANTZ: Okay.

23 DPR ENVIRONMENTAL MONITORING BRANCH CHIEF

24 WOFFORD: We do have a good handle SO far on fumigants.  
25 But as I said, I'll go into that more.



1           We could then use that emissions inventory to  
2 calculate total emissions for individual active  
3 ingredients based on various spatial scale. It could be  
4 statewide, it could be county, air basin, down to  
5 community boundary levels for the communities under AB  
6 617.

7           So as I said, there are over 1,000 registered  
8 AIs. And this would require an immense amount of work and  
9 commitment by the Department. So the Department is  
10 proposing to start with some information that we do have,  
11 and we do have a good handle on. And that would be the  
12 emission factors that we have developed for fumigants.  
13 We've done quite a bit of work on soil fumigants through  
14 our Volatile Organic Compound Regulation reporting, and  
15 through our work on mitigation measures, and have actually  
16 determined emission factors for all of the different soil  
17 fumigants and application methods.

18           And through the VOC regulations we require within  
19 the nonattainment areas reporting with a method code each  
20 individual application and the method that was used to  
21 apply. So we're able to determine for each of those  
22 applications, the actual emissions for them.

23           And we would be able to use that data to  
24 extrapolate for some of the other statewide applications  
25 that don't have actually codes reported for them. That

1 would be our initial step into this emissions inventory.

2           The next step that we propose taking is then  
3 looking at the organophosphates. We've actually done some  
4 work with chlorpyrifos looking at its emissions. We have  
5 some studies in-house that have been from air monitoring  
6 studies on applications of a couple of organophosphates  
7 with aerial and ground that we could model and try to  
8 attempt to determine emissions from, and then extrapolate  
9 to other organophosphates and include those next into the  
10 inventory.

11           And that would give us actually quite a bit or  
12 most of the pesticides that tend to be of highest concerns  
13 to communities. And after that, then we would need to go  
14 into a lot more in-depth work to incorporate the rest of  
15 those active ingredients. But there is the potential for  
16 us moving currently, like I said, with the fumigants and  
17 the OPs for this 617 community outreach.

18           So any questions on that?

19           PANEL MEMBER LANDOLPH: It looks like a lot of  
20 nice data you're going to gather. Could the  
21 epidemiologists use this or could your department use it  
22 to look for possibilities of correlation with certain  
23 pesticides towards induction of Alzheimer's and other  
24 neurologic diseases?

25           DPR ENVIRONMENTAL MONITORING BRANCH CHIEF

1 WOFFORD: I would have to leave that up to the  
2 toxicologist to determine. But this would be give the  
3 Department also information to use in risk assessments  
4 then.

5 CHAIRPERSON ANASTASIO: Thank you, Pam.

6 Any other questions?

7 PANEL MEMBER KLEINMAN: Yeah. The  
8 organophosphates, you know, provide a very good  
9 opportunity for testing out modeling and biomonitoring as  
10 well, because I know that some acetylcholinesterase levels  
11 were measured. So is that something that is possible in  
12 the -- in the near future to kind of integrate that?

13 DPR ENVIRONMENTAL MONITORING BRANCH CHIEF

14 WOFFORD: As not being a toxicologist, I would have to  
15 leave that to someone else to answer.

16 (Laughter.)

17 CHAIRPERSON ANASTASIO: John, do you want to  
18 weigh-in on that?

19 (Laughter.)

20 DR. FAUST: As a toxicologist?

21 (Laughter.)

22 DR. FAUST: It is possible. I mean, I would have  
23 to, I think, think about the feasibility of what we're  
24 talking about. But, I mean, it's -- you know, it's an  
25 important piece to think about as we're moving forward in

1 the information that we can pull together for  
2 demonstrating some of these exposures.

3 CHAIRPERSON ANASTASIO: Anything else?

4 Stan.

5 PANEL MEMBER GLANTZ: Well, you know, so just  
6 listening to this to just make your already extremely  
7 complicated problems more complicated, you know, I think  
8 you ought to also be thinking about secondhand smoke and  
9 secondhand cannabis smoke. And if you go back to when we  
10 did the listing of secondhand smoke as a toxic air  
11 contaminant, part A of the report actually has data on  
12 outside emissions, and they were a lot bigger than one  
13 would have thought.

14 And I think with the -- with the, you know,  
15 expanding cannabis market, that's going to be an issue  
16 too. And a lot of -- you know, the use of these products  
17 tends to be concentrated in the same communities that  
18 you're talking about.

19 So I would at least go back and take a look at  
20 part A of the secondhand smoke report, and, you know, see  
21 what -- because there's some good modeling in there too,  
22 to see how that could play into what you're doing, and  
23 then maybe -- I know that the California Tobacco Control  
24 Program out of the Department of Public Health is putting  
25 a strong emphasis on health disparities and targeted

1 communities.

2           So that might fit in quite nicely with what  
3 you're -- what you guys are doing. And they -- they also  
4 have a pretty -- pretty active engagement with community  
5 organizations in these impacted communities too. So there  
6 might be some good synergy there.

7           OCAP DIVISION CHIEF MAGLIANO: Yeah. And we're  
8 actually working closely with Paul English in tracking  
9 California to try and make those connections. That's a  
10 good suggestion.

11           CHAIRPERSON ANASTASIO: Great. Thank you.

12           PANEL MEMBER MILLER: Can I ask a quick question?

13           CHAIRPERSON ANASTASIO: Yes, please.

14           PANEL MEMBER MILLER: I had a quick question for  
15 all of three of you -- oh, sorry -- for all three of you.  
16 How will the health surveillance take place? Is there  
17 going to be a uniform approach in how this is going to be  
18 done in terms of getting consistency in reporting or will  
19 it be sort of a grassroots type of approach for each  
20 community.

21           DR. FAUST: I think that's all part of the  
22 conversation that we're going to be wanting to have  
23 through this -- through this process going forward. I  
24 mean, I think, yeah, the merits of different types of  
25 approaches need to be -- need to be considered very

1 carefully. And I think the sort of the reality check on  
2 what is feasible also needs to be considered very heavily  
3 before starting any particular study.

4 PANEL MEMBER MILLER: Thank you.

5 CHAIRPERSON ANASTASIO: Any other comments from  
6 the Panel?

7 No. All right. Well, thank you, Pam, and Dave,  
8 and John, and Karen for the presentation. Clearly, an  
9 ambitious program. Definitely an important one. I can  
10 sense the Panel is itching to give input, which is great.  
11 But I think something Karen pointed out is very important,  
12 you know, this is kind of an incremental and iterative  
13 process.

14 And so whatever starts in July of 2019 is not the  
15 way it's necessarily going to be for the rest of the  
16 program. And I'm sure as you learn things that -- and  
17 perhaps get our input, things will be modified.

18 OCAP DIVISION CHIEF MAGLIANO: And I think the  
19 suggestion to be able -- as we start getting this  
20 information, to be able to synthesize it, consolidate it,  
21 come back to this group both in terms of what we're just  
22 seeing with that core information, how that then feeds  
23 into the topic areas that John suggested, and then does  
24 help sort of feed that iterative process, and hopefully  
25 continuing development of best practices, et cetera. And

1 I think your role will be really key in that.

2 So thank you.

3 CHAIRPERSON ANASTASIO: Yeah. You're welcome.  
4 Thank you.

5 Our final agenda item, number 4, consideration of  
6 administrative matters. I just have one thing here. So  
7 Jim Behrmann and I have talked about trying to plan SRP  
8 meetings further into the future. I know we've gotten  
9 into a situation a few times where the availability of the  
10 SRP Panel has been limiting the progress that we can make.

11 So Jim is going to try to -- well, take a step  
12 back. We're going to try to have three additional  
13 meetings in the next year. And the idea would be we're  
14 going to meet roughly every three to four months. Jim is  
15 going to send out a poll to the Panel asking for your  
16 availability over the course of roughly four weeks in each  
17 of these windows. And so when you get that please reply,  
18 and please try to be as open with your time as you can.

19 I know a lot of us are teaching. There will be  
20 only one meeting in each of these windows. So if you're  
21 teaching Monday, Wednesday, Friday, please don't block out  
22 Monday, Wednesday Friday for the entire window. At worst,  
23 you'll miss one class. So try to make Jim's job easier  
24 and to try to get us to meet more frequently, we're going  
25 to try to do this new thing. So look forward to that from

1 Jim, and we'll see how it goes.

2 Any other administrative matters from the Panel  
3 before we close?

4 PANEL MEMBER BLANC: Does OEHHA -- does OEHHA  
5 have a clue as to what we might be looking at coming down  
6 the pike?

7 CHAIRPERSON ANASTASIO: John is smiling. I'm  
8 going to take that as a yes.

9 (Laughter.)

10 DR. BUDROE: John Budroe. And, yeah, we'd like  
11 to bring a toluene REL document to the SRP next. And then  
12 probably the document after that will be cobalt cancer  
13 potency factor document.

14 CHAIRPERSON ANASTASIO: Yeah. Thank you, John.

15 The other thing we'd like to do for our next  
16 meeting, which will probably be June or July is have an  
17 overview to try to look at the next year, think about  
18 what's coming down the pike in addition to toluene and  
19 cobalt.

20 Any other administrative matters?

21 PANEL MEMBER KLEINMAN: Well, if there is open  
22 time in one of our agendas, it might be useful to revisit  
23 the priority list that was created years ago, and see if  
24 there is anyway we can update that, or at least look at  
25 that, and see if there are new things that we would like



1 to recommend to OEHHA to look into for developing new, you  
2 know, RELs?

3 CHAIRPERSON ANASTASIO: Yeah. I agree with that.  
4 And, you know, the Panel has talked about having input on  
5 the priorities and what comes down the pike. So I think  
6 at our next meeting we'll certainly have that as a  
7 topic -- an agenda item.

8 PANEL MEMBER GLANTZ: Yeah. There actually are a  
9 couple of documents that were approved that -- for  
10 different -- different lists. I mean, maybe the thing to  
11 do is go dig those out, see what actually happened, and  
12 then maybe have OEHHA come back with some suggested  
13 changes.

14 CHAIRPERSON ANASTASIO: Yeah, that's a good idea.  
15 Just make this note.

16 Okay. Any other comments?

17 If not, can I get a motion to adjourn.

18 PANEL MEMBER KLEINMAN: So moved.

19 PANEL MEMBER GLANTZ: Second.

20 CHAIRPERSON ANASTASIO: And can I get a vote.

21 All in favor of adjourning say aye?

22 (Aye.)

23 CHAIRPERSON ANASTASIO: Let the record reflect,  
24 it was unanimous.

25 Thank you.

1 (Thereupon the California Air Resources Board,  
2 Scientific Review Panel adjourned at 2:24 p.m.)  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

## 1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand  
3 Reporter of the State of California, do hereby certify:

4 That I am a disinterested person herein; that the  
5 foregoing California Air Resources Board, Scientific  
6 Review Panel meeting was reported in shorthand by me,  
7 James F. Peters, a Certified Shorthand Reporter of the  
8 State of California;

9 That the said proceedings was taken before me, in  
10 shorthand writing, and was thereafter transcribed, under  
11 my direction, by computer-assisted transcription.

12 I further certify that I am not of counsel or  
13 attorney for any of the parties to said meeting nor in any  
14 way interested in the outcome of said meeting.

15 IN WITNESS WHEREOF, I have hereunto set my hand  
16 this 9th day of March, 2019.

17  
18  
19  
20 

21  
22  
23 JAMES F. PETERS, CSR  
24 Certified Shorthand Reporter  
25 License No. 10063