

VIDEOCONFERENCE MEETING
STATE OF CALIFORNIA
ENVIRONMENTAL PROTECTION AGENCY
AIR RESOURCES BOARD
SCIENTIFIC REVIEW PANEL
ON TOXIC AIR CONTAMINANTS

ZOOM PLATFORM

FRIDAY, MAY 7, 2021

9:30 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

PANEL MEMBERS:

Cort Anastasio, Ph.D., Chairperson

Ahmad Besaratinia, Ph.D.

Paul D. Blanc, M.D.

S. Katharine Hammond, Ph.D.

Michael T. Kleinman, Ph.D.

Joseph R. Landolph, Jr., Ph.D.

Karen Messer, Ph.D.

Lisa A. Miller, Ph.D.

Beate R. Ritz, M.D., Ph.D., M.P.H.

REPRESENTING THE AIR RESOURCES BOARD:

Patrick Gaffney, Staff Air Pollution Specialist, Criteria Pollutant and Air Toxics Reporting Section, Greenhouse Gas and Toxics Emission Inventory Branch, Air Quality Planning and Science Division

Anne Klein, Air Pollution Specialist, Toxics Inventory and Special Projects Section, Air Quality Planning & Science Division

Lori Miyasato, Ph.D., Panel Liaison

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT:

John Budroe, Ph.D., Chief, Air Toxicology and Risk Assessment Section

Rona Silva, Ph.D., Staff Toxicologist, Air Toxicology and Risk Assessment Section

APPEARANCES CONTINUED

ALSO PRESENT:

Stanton Glantz, Ph.D.

INDEX

PAGE

1. Welcome and Introductions 1

2. California Senate Resolution Recognition of Dr. Stanton Glantz's Contributions to the Scientific Review Panel

Dr. Stanton A. Glantz retired from the Scientific Review Panel for Toxic Air Contaminants in 2020, after 34 years of dedicated service. The California Senate, which appointed him to the SRP's Biostatistics position in 1986, has recognized his achievements in Member's Resolution RN 21 50783. 6

3. Review of "Chromium, Trivalent (Inorganic Water-Soluble Compounds)" - Scientific Review Panel Draft - April 2021

Office of Environmental Health Hazard Assessment (OEHHA) staff will present a draft document summarizing the toxicity and derivation of Reference Exposure Levels (RELs) for Chromium, Trivalent (Inorganic Water-Soluble Compounds). RELs are airborne concentrations of a chemical that are not anticipated to result in adverse non-cancer health effects for specified exposure durations in the general population, including sensitive subpopulations.

OEHHA is required to develop guidelines for conducting health risk assessments under the Air Toxics Hot Spots Program (Health and Safety Code Section 44360 (b)(2)). In response to this statutory requirement, OEHHA develops RELs for many air pollutants. More information about the proposed Chromium, Trivalent (Inorganic Water-Soluble Trivalent Chromium Compounds) RELs can be found at: <https://oehha.ca.gov/air/document/public-comment-period-and-workshops-draft-reference-exposure-levels-chromium-trivalent>. 18

4. Consideration of administrative matters.

The Panel may discuss various administrative matters and scheduling of future meetings. 115

INDEX CONTINUED

	<u>PAGE</u>
Adjournment	120
Reporter's Certificate	121

1 CHAIRPERSON ANASTASIO: Excellent. Thank you,
2 Karen. It's a pleasure to have you join the Panel.

3 Next, we'll just have each Panel member briefly
4 essentially say that they're here, who they are, where
5 they work. And I'm just going to go in the order I have
6 on my sheet. We're going to start with Beate, who I'm
7 happy to say was just reappointed as the epidemiology
8 representative to the Panel. Beate.

9 PANEL MEMBER RITZ: Right. So, yeah, I'm an
10 epidemiologist. But I'm actually MD, PhD, so I also have
11 some clinical background. I'm a COEH member at UCLA, so
12 the Center for Occupational and Environmental Health. And
13 my main job is to ensure environmental and occupational
14 health is being researched in the state, as well as
15 provide service and teaching.

16 CHAIRPERSON ANASTASIO: Great. Thank you, Beate.
17 Next, Ahmad.

18 PANEL MEMBER BESARATINIA: Good morning. I'm
19 Ahmad Besaratinia. I'm a professor of preventive medicine
20 at Keck School of Medicine of University of Southern
21 California. I'm a cancer biologist by training. And most
22 of our research are on environmental carcinogenesis with a
23 focus on tobacco-related cancers.

24 CHAIRPERSON ANASTASIO: And Ahmad, do you have
25 any professional news you'd like to share with the Panel,

1 any good news recently occurred.

2 PANEL MEMBER BESARATINIA: Recently, well, I was
3 promoted to full professor. And I recently got a new
4 award actually from TRDRP.

5 CHAIRPERSON ANASTASIO: Awesome. Congratulations
6 Ahmad on both of those good news pieces.

7 Next, Paul.

8 PANEL MEMBER BLANC: You're assuming I would be
9 proficient at unmuting myself. But I like to be like
10 those, you know, report -- those people being interviewed
11 on MSNBC who always mess up the unmute.

12 I'm Paul Blanc. I'm at the University of
13 California, San Francisco. And I'm Chief of the Division
14 of Occupational and Environmental Medicine there and I am
15 the State Senate appointee specifically in regard to
16 occupational health. My areas of research include the
17 epidemiology of lung disease and related conditions, as
18 well as disability from occupational conditions.

19 CHAIRPERSON ANASTASIO: Great. Thank you, Paul.

20 Next, Joseph.

21 Sorry, Joe, you're muted.

22 PANEL MEMBER LANDOLPH: The same troubles as
23 Paul. So I'm associate professor of molecular
24 microbiology and immunology and pathology and a member of
25 the USC Norris Comprehensive Cancer Center at the Keck

1 School of Medicine of the University of Southern
2 California in Los Angeles, California. And my laboratory
3 studies molecular carcinogenesis. And we're interested in
4 mecha -- molecular mechanisms of carcinogenesis by
5 chromium, nickel, arsenic, and polycyclic aromatic
6 hydrocarbons. And I teach courses in that same area. And
7 I've been appointed by Speaker the Honorable Anthony
8 Rendon, Speaker of the California Assembly to the SRP.

9 CHAIRPERSON ANASTASIO: Great. Thank you, Joe.
10 Kathy.

11 PANEL MEMBER HAMMOND: I'm Kathy Hammond, a
12 professor of environmental health sciences at the
13 University of California, Berkeley's School of Public
14 Health. And my research is in exposure assessment,
15 occupational and environmental, and secondhand smoke. And
16 often a lot of it is exposure assessment for epidemiology
17 studies, but can also be just directly.

18 CHAIRPERSON ANASTASIO: Great. Thank you, Kathy.
19 Lisa.

20 PANEL MEMBER MILLER: There we go. Good morning,
21 everybody. I'm Lisa Miller. I'm a professor in the
22 Department of Anatomy, Physiology, and Cell Biology at the
23 UC Davis School of Veterinary Medicine. And I'm also the
24 Associate Director of Research at the California National
25 Primate Research Center. So I specialist in large animal

1 models. My expertise is in respiratory immunology, that's
2 my training. And my research program is focused on the
3 pathological effects of environmental exposures on
4 development of chronic respiratory disease, such as
5 asthma.

6 CHAIRPERSON ANASTASIO: Great. Thank you, Lisa.
7 Mike.

8 PANEL MEMBER KLEINMAN: Good morning. I'm Mike
9 Kleinman. I am a professor in the Department of
10 Environmental and Occupational Health. I'm an inhalation
11 toxicologist. And I direct the Air Pollution Health
12 Effects Lab at UCI and primarily study the health effects
13 of ambient air pollutants and products from electronic
14 nicotine delivery systems, e-cigarettes, and hookahs.

15 And I was just reappointed by Speak Rendon, so I
16 appreciate that and I'm happy to be part of the Panel
17 again.

18 CHAIRPERSON ANASTASIO: Excellent. Thank you,
19 Mike. It's good to have you join us again. That's great.
20 So finally, me. I'm a professor in the Department of
21 Land, Air, and Water Resources at UC Davis. And I study
22 atmospheric chemistry.

23 So for today's meeting, we have two agenda items.
24 The first we will be honoring Stan Glantz and talking
25 about the Senate resolution he recently received. And

1 then the second item is from OEHHA. It's a review of
2 chromium(III) water-soluble reference exposure level
3 document.

4 We had originally thought we might do an AB 2588
5 item today, but we will not be doing that. So if you are
6 interested in that item, we're hoping -- well, we're
7 planning to do it at a future meeting, which we hope will
8 be our October 15th meeting.

9 Okay. So with that, I'd like to move on to our
10 first agenda item, the California Senate resolution for
11 Dr. Stan Glantz.

12 Stan retired from the SRP in 2020. And he was
13 part of the Panel for 34 years, which I'm guessing is a
14 record, but I don't know for sure. So that's fantastic,
15 Stan. Thank you for all your service.

16 The California Senate appointed him to the
17 biostatistics position in 1986 and has recognized his
18 achievements in a Members Resolution RN2150783, which
19 reads as follows:

20 So Members Resolution by the Honorable Scott D.
21 Wiener, 11th Senatorial District relative to commending
22 Stanton A. Glantz, PhD.

23 "Whereas, Dr. Stanton A. Glantz, a professor at
24 the University of California, San Francisco, School of
25 Medicine is retiring after 34 years of dedicated service

1 to the Scientific Review Panel on Toxic Air Contaminants.
2 And it is appropriate at this time to highlight his many
3 achievements and extend to him special public recognition
4 and commendations for his professional leadership, and;

5 "Whereas, first appointed to the Scientific
6 Review Panel in 1986 by the Senate Committee on Rules,
7 Stanton Glantz has held continuous membership with the
8 organizational body charged with evaluating the risk
9 assessments of substances proposed for identification as
10 toxic air contaminants by the California Air Resources
11 Board, the Office of Environmental Health Hazard
12 Assessment, and the Department of Pesticide Regulation, as
13 well as the review of guidelines prepared by OEHHA, and;

14 "Whereas, having earned widespread recognition
15 for his contributions to tobacco control policy
16 development, evaluation, and implementation stemming from
17 his research into the health impacts from tobacco smoke,
18 Stan served as program director of the UCSF Center for
19 Tobacco Control Research and Education Postdoctoral
20 Training Program, supervising close to 100 fellows and
21 graduate students over the course of his career and he has
22 published more than 250 peer-reviewed articlesI and 40
23 editorials in his field of study, and;

24 "Whereas, additionally, Stan played a major role
25 in the Scientific Review Panel's identification of

1 environmental tobacco smoke as a toxic air contaminant and
2 the revisiting of environmental tobacco smoke in efforts
3 to further reduce public exposure, which garnered
4 international recognition and contributed to tobacco
5 control policies across the globe, and;

6 "Whereas, furthermore, Stan contributed to the
7 identification of particulate emissions from diesel fuel
8 engines as a toxic air contaminant, which led to
9 development of the Diesel Risk Reduction Plan by CARB to
10 limit public exposure to particles emitted by diesel
11 trucks and other diesel sources, and;

12 "Whereas, as the lead reviewer of numerous health
13 risk assessment guideline documents drafted by OEHHA, Stan
14 helped facilitate the establishment of hundreds of health
15 values used in the preparation of health risk assessments
16 for residents living near facilities emitting air toxics,
17 and;

18 "Whereas, Californians across the state have
19 greatly benefited from living in communities with
20 significantly reduced concentrations of toxic air
21 contaminants and Stanton Glantz has played an integral
22 role in the evolution and implementation of California's
23 Air Toxics Program;

24 "Now, therefore, be it resolved by Senator Scott
25 D. Wiener that he commends Dr. Stanton A. Glantz for his

1 long and distinguished career of professional service and
2 extends sincere best wishes for a rewarding and gratifying
3 retirement".

4 Dated this 17th of day of March, 2021. Honorable
5 Scott D. Wiener, 11th Senatorial District.

6 So, congratulations, Stan, and thank you very
7 much for your service. Would you like to say a few words?

8 DR. GLANTZ: Sure. I mean, I have to say that
9 being on the Committee was probably of all the public
10 service I've done and committees I've been involved in has
11 been, you know, probably the most gratifying. That's why
12 I was willing to hang around for 34 years. And I think
13 not only has the Committee, you know, done very important
14 work and continues to do important work, but the people on
15 the Committee have just been of uniform high quality.
16 I've -- every single meeting I attended, I learned
17 something.

18 And the people from the agencies from CARB and
19 OEHHA, and, you know, at least in the last few years, the
20 Department of Pesticide Regulation have been, you know,
21 doing just first class work and being very responsive to
22 the Committee. And it's just been a fantastic experience,
23 you know. But I do think 34 years was enough.

24 The -- I mean, if there's anything that comes up
25 that I can be of any help on, I'm happy to do that. I'm

1 glad to see that Karen Messer was appointed. I know
2 Karen. She's does a great job. And I just hope the
3 Committee continues its history of being a strong
4 independent scientific voice in the deliberations over
5 toxic air contaminants and the other issues that come
6 before the Committee.

7 You know, one thing that's really impressed me
8 over the life of the Committee is its independence. And
9 the fact that during some administrations, there were
10 political pressures brought to bear. I can remember
11 specifically on lead and diesel. And the Committee was
12 able to actually keep the process moving and prevent the
13 politicization of some of these reports that come through
14 the Committee.

15 And, you know, especially in -- you know, having
16 lived through the Trump administration, the contrast
17 between what the SRP was able to accomplish and what was
18 going on at places like the federal EPA was quite
19 dramatic.

20 So, you know, I thank you, you know, for all the
21 time and all the things I've learned. And, you know, I'm
22 very, very proud of the work, you know, that I've been
23 able to contribute to working with the rest of the
24 Committee. So keep up the good work.

25 CHAIRPERSON ANASTASIO: That's great. Thank you,

1 Stan. Would anybody like to say anything to Stan or about
2 Stan?

3 I guess I'll start. I just -- Stan, I've always
4 been so impressed with your work on the Panel in terms of
5 our ability to get to really the heart of the matter it
6 seemed like in every health guidance document we had. So
7 I'd like to thank you for that and you definitely improved
8 the Panel's work.

9 Kathy, would you like to say something?

10 PANEL MEMBER HAMMOND: Yes. Thank you, Stan. I
11 have been so impressed with your work over the last few
12 decades. Some of the time that we've been able to work
13 together and I -- it's been an honor to serve on the Panel
14 with you. And your service to the State through your SRP,
15 but also to the entire global community through both the
16 tobacco work, but the diesel work, is extraordinary. And
17 I'm extremely grateful for both the work you've done and
18 the path that you've laid for the rest of us.

19 Thank you.

20 CHAIRPERSON ANASTASIO: Thank you, Kathy.

21 Paul.

22 PANEL MEMBER BLANC: Yes, I did that.

23 Stan, obviously, you'll be sorely missed. And I
24 also want to take this opportunity to mention the -- not
25 just your work solo, but also your -- the dynamic duo of

1 you and John Froines often delivering the one-two punch,
2 and provided me with a lot of cover to be -- to not seem
3 too outrageous, because I had the two of you alongside.

4 CHAIRPERSON ANASTASIO: Great. Thank you, Paul.
5 Mike.

6 PANEL MEMBER KLEINMAN: Yes. Thank you.

7 Stan, when I came onto the Panel and I tried very
8 insufficiently to take the role that John Froines left,
9 who had been chairing, and I was sort of thrown into the
10 deep end as the Chair of SRP for a while. And I really
11 learned so much from working with you, and your advice,
12 and also Paul's, keeping me on track, and getting me
13 oriented into SRP and the great work that we do. So I
14 really wanted to say how much I appreciated everything
15 you've done and the sense of history that you bring, or
16 brought, probably will still bring to the way our
17 Committee has functioned and the kinds of results that
18 we're able to provide.

19 So thank you.

20 CHAIRPERSON ANASTASIO: Thank you, Mike.
21 Karen.

22 PANEL MEMBER MESSER: I just want to thank Stan
23 for his words of confidence. And I appreciate the huge
24 gap that his absence is going to leave on this Panel and
25 also the long history and the importance, and the good

1 work. And I just want to say I'll do my best to fulfill
2 the biostatistician role, but -- I'll do my best.

3 And I know Stan's work. It seems like he's --
4 has a big footprint wherever he goes, because he's a
5 leader in tobacco control and I know his work well from
6 there.

7 So, Stan, thank you for your confidence and I'll
8 do my best.

9 CHAIRPERSON ANASTASIO: Thank you, Karen.

10 We're looking forward to getting 34 years out of
11 you as well. So I don't know if they told you that during
12 your appointment.

13 Stan, could you show us the framed Senate
14 Resolution that you received?

15 DR. GLANTZ: Yes, it's very big.

16 CHAIRPERSON ANASTASIO: It's beautiful. Yeah,
17 that's fantastic. I'd like to thank Lori for really
18 spearheading getting that done.

19 Yeah. Well, thank you very much, Stan. You are
20 welcome to stay on and hear the discussion of
21 chromium(III), but as a retired Panel member now, you're
22 also welcome to turn off Zoom and go about your day. So
23 congratulations

24 DR. GLANTZ: Yeah. Well, I've signed up to go
25 help out at a food bank, so I'm going to -- since I didn't

1 read the chromium report, I think I'll leave you guys to
2 your own devices. But again, I really -- I think the most
3 important thing about the Panel has been, you know, the
4 commitment to science, the commitment to staying above
5 politics.

6 And, I mean, one last thing, and this is sort of
7 for Karen's benefit, because she's a newbie, I think one
8 of the real -- when I was first appointed to the Panel,
9 you know, back in the Pliocene age, I was very frustrated
10 that there was this firewall between the risk assessment
11 and the risk management. It seemed to me, you know,
12 frustrating that, well, gee, we're evaluating these
13 compounds to see how dangerous they are or if they're
14 dangerous, and why can't we say something about what to
15 then do about the risks that we identified.

16 And the law really separates that from the risk
17 management phase. And the thing I've really come to
18 learn, especially in comparing what we do with comparable
19 groups at the EP -- federal EPA is that separation really
20 helps to de-politicize the communication.

21 And I recall a meeting that John Froines and I
22 had with the Diesel Manufacturers Association when diesel
23 was being considered, and their lawyers -- and they -- you
24 know, they went on and on about how, you know, listing
25 diesel as a toxic air contaminant would destroy the

1 California economy.

2 And what John said to them was, you know, that's
3 not our problem. You know, our problem is to give the
4 State the best assessment we can of the science and then
5 let the -- you know, the decision of what to do with that
6 is a political decision. And that's something that's up
7 to the regulators. And so, you know, we -- you're really
8 talking to the wrong people. And I think that separation
9 is one of the really strong elements of the law and I
10 think has led to some very, you know, important
11 developments. I mean, diesel.

12 Another one was chlorpyrifos which we just
13 finished dealing with a few months ago. And I just hope
14 that the Panel will maintain -- I guess one other quick
15 story. I think it was lead where a massive report came
16 forward. I was one of the leads on that, I think. And
17 the Panel approved almost the entire report, except for a
18 couple of items and sent it back to the agency to deal
19 with and then it just vanished. And it turns out that
20 there was political pressure coming down from I believe it
21 was Governor Wilson. And the Committee actually held a
22 meeting, even though no report had been delivered. And
23 the question to the Acting Director of OEHHA was where is
24 the damn report?

25 And that forced it out into the open. And then I

1 remember making like an hour-long resolution, basically
2 specifying that editing of the report to put it back to
3 the way we had approved it, and, you know, except for the
4 couple of things that had been updated.

5 And I think that that strength of the Committee,
6 and the independence, and the willingness to stand up to
7 the politicians and sometimes to bureaucracy has, you
8 know, really contributed to the quality of the work that
9 came out and the health of the people in California. And
10 again, that's why I was willing to stay on it for so many
11 years.

12 I have to say that I actually tried to retire
13 three years earlier right after Trump got elected. And
14 Lauren Zeise and Gina Solomon called me up and said are
15 you crazy? Look who just got elected President.

16 And I agreed to stay another term. And I'm glad
17 that they -- that they got me to do it, because it gave me
18 the opportunity to contribute to the chlorpyrifos report,
19 which I think was one of the most important things the
20 Committee has done.

21 And again, for Karen's benefit, DPR came forward
22 using one endpoint, where there was a lot of data. And
23 we -- the Committee really pushed them to change the
24 endpoint, even though there was less data, but it was more
25 modern data and resulted in the acceptable exposure level

1 being cut by I believe it was a factor of 300, which
2 eventually led to the decision to end its use in
3 California.

4 And so this Committee has a lot of power. And it
5 comes I think from the quality of the people on it and the
6 commitment to, you know, just focusing on the science. So
7 I, you know, commend everyone for that and I just hope you
8 continue doing it. It's very, very important work, I
9 think.

10 So with that, I'll go load food into bags and you
11 can deal with the next report. And, you know, thank you
12 all for inviting me to this meeting.

13 CHAIRPERSON ANASTASIO: Well, thanks, Stan.
14 Again, really appreciate your 34 years of service. And I
15 think what you've been talking about in terms of the
16 history of the Panel and the strengths of the Panel is
17 important for us to remember going forward, so we can
18 continue to have the Panel be a vital part of
19 science-based rulemaking in the state. Yeah.

20 Well, enjoy bagging food and --

21 DR. GLANTZ: Okay.

22 CHAIRPERSON ANASTASIO: -- we look forward to
23 seeing you again.

24 DR. GLANTZ: Okay. Take care and have a good
25 rest of the meeting.

1 CHAIRPERSON ANASTASIO: All right. Thanks, Stan.

2 DR. GLANTZ: Bye-bye

3 CHAIRPERSON ANASTASIO: Bye.

4 Okay. So that was our first agenda item.

5 Our second agenda item is a review of the OEHHA
6 reference exposure level report for chromium trivalent
7 (inorganic water-soluble compounds). Let's just call it
8 water-soluble chromium(III). And this document is from
9 OEHHA. It was released for public review and comment on
10 January 8th, 2021. The document was then revised. And
11 the Scientific Review Panel draft, dated April 2021, was
12 sent to the full Panel for review and was also posted on
13 OEHHA's website for the public.

14 Today, we'll hear a presentation from OEHHA staff
15 on the proposed reference exposure levels for
16 water-soluble chromium(III) and then we'll take a short
17 break and then we'll have a Panel discussion about the
18 document.

19 So I'd like to now introduce Dr. John Budroe,
20 Chief of the OEHHA's Air Toxicology and Risk Assessment
21 Section.

22 John.

23 (Thereupon a slide presentation.)

24 DR. BUDROE: Good morning. I, in turn, would
25 like to introduce Dr. Rona Silva. She's the lead on the

1 trivalent chromium inorganic water-soluble compounds
2 reference exposure levels document. She'll be making a
3 presentation on the document and on the associated
4 response to comments. And I'd like to note that her
5 laptop doesn't have a working webcam, but her audio -- she
6 will be presenting this slides and you will hear her
7 audio.

8 Dr. Silva.

9 DR. SILVA: Hi. Sorry. Please let me know if
10 you can see my screen. I'm sharing it now.

11 CHAIRPERSON ANASTASIO: Yes, we can see that,
12 Rona.

13 DR. SILVA: Okay. Thank you.

14 Sorry. I'm having some trouble with my view
15 here.

16 Okay. Good morning and welcome. My name is Rona
17 Silva and I am a staff toxicologist in OEHHA's Air Toxics
18 and Risk Assessment Section.

19 Chromium is one of the most common elements in
20 the earth's crust and seawater. It's a naturally
21 occurring heavy metal that can exist in oxidation states
22 from negative two to positive six. However, chromium(III)
23 is generally the most thermodynamically stable and
24 prevalent state in the environment. Atmospheric
25 chromium(III) can result from activities like mining and

1 refinement of ores, production of tanned leather or chrome
2 plated materials, and conversion of airborne chromium(VI)
3 species emitted during industrial processes.

4 Slide two.

5 --o0o--

6 DR. SILVA: In the draft REL document posted
7 online, we specified that chromium(III) compounds with a
8 solubility greater than 100 milligrams per liter at 20
9 degrees Celsius were considered water soluble. Examples
10 of water-soluble chromium(III) compounds include, but are
11 not limited to, chromic chloride hexahydrate and basic
12 chromium sulfate, both of which are used in leather
13 tanning and chrome plating solutions.

14 Chromic chloride and basic chromium sulfate are
15 general chemical names used to describe different
16 chemicals with varying physical chemical characteristics.

17 Slide three.

18 --o0o--

19 DR. SILVA: In this table, there are two examples
20 of chromic chloride and four examples of basic chromium
21 sulfate. Despite that some of these chemicals share a
22 common name, they have different molecular formulas,
23 molecular weights, solubilities, and identification
24 numbers in the Chemical Abstract Service or CAS database.

25 Slide four.

1 --o0o--

2 DR. SILVA: OEHHA is developing RELs for
3 chromium(III), because it's sometimes used as a
4 replacement for hexavalent chromium in chrome plating
5 processes. There is potential for inhalation exposure to
6 airborne chromium(III) among community members and
7 off-site workers. There are currently no RELs for
8 chromium(III). And chromium(III) inhalation toxicity data
9 are available for REL development.

10 Slide five.

11 --o0o--

12 DR. SILVA: Toxicokinetics of inhaled
13 chromium(III) compounds are variable and influenced in
14 part by aerosol characteristics like size and water
15 solubility, as well as exposure parameters like inhaled
16 dose rates. For water-soluble chromium(III) species,
17 absorption of particles greater than five microns in
18 diameter may occur as a result of deposition in the head
19 or conducting airways with dissolution and translocation
20 to blood through the mucus lining. Absorption of
21 water-soluble particles less than five microns would
22 likely be the result of deposition in the gas exchange
23 region of the lungs, protein binding, and translocation,
24 which could occur rapidly or after some time of retention
25 in the pulmonary tissues.

1 Slide six.

2 --o0o--

3 DR. SILVA: Upon absorption into blood,
4 chromium(III) tends to partition into plasma versus the
5 cells at a ratio of two to one and distribute to tissues,
6 including the gastrointestinal tract, bones, kidneys, and
7 liver within the first 24 hours.

8 In terms of metabolism: binding to biomolecules
9 generally excludes chromium(III) from the intracellular
10 space; cellular entry occurs via phagocytic or
11 non-specific diffusion mechanisms; and three,
12 intracellular chromium(III) can produce reactive oxygen
13 species, which may decrease antioxidant capabilities
14 and/or produce toxic responses.

15 Slide seven.

16 --o0o--

17 DR. SILVA: Approximately 50 percent of the
18 absorbed chromium is excreted in urine, five percent is
19 excreted in feces, and the rest is deposited in deep body
20 compartments like bone and soft tissue. Elimination is
21 biphasic and occurs in a rapid phase representing
22 clearance from blood and a slower phase representing
23 clearance from tissues. Occupational exposure studies
24 suggest that renal excretion of approximately half of the
25 absorbed exposure dose takes less than 12 hours.

1 Slide eight.

2 --o0o--

3 DR. SILVA: The 1979 study by Henderson et al.,
4 was used as the basis of the acute REL. Acute RELs are
5 air concentrations at or below which infrequent one-hour
6 exposures are not expected to result in adverse non-cancer
7 health effects. In the Henderson study, Syrian hamsters
8 were exposed via nose only inhalation to chromium(III) at
9 0, 0.55, or 15 milligrams per cubic meter as nebulized
10 chromic chloride hexahydrate aerosol at 0, 2.8, or 77
11 milligrams per cubic meter for 30 minutes. There were
12 four hamsters per sex treatment group and necropsy time
13 point.

14 Slide nine.

15 --o0o--

16 DR. SILVA: Necropsies occurred at two hours or
17 1, 7, or 21 days post-exposure. At these time points,
18 lung tissue and bronchoalveolar lavage fluid, or BALF,
19 were obtained for analysis of histopathology and
20 quantification of inflammatory biomarkers.

21 No statistically significant differences were
22 observed between the controls and the groups exposed at
23 0.55 milligrams of chromium(III) per cubic meter of air.
24 Thus, we considered this concentration to be the no
25 observable adverse effect level, or NOAEL.

1 Four critical effects were observed between the
2 controls and the 15 milligrams of chromium(III) per cubic
3 meter high exposure groups. First, on post-exposure day
4 one, there was a statistically significant 75 percent
5 increase in tissue acid phosphatase, or AP, activity, and,
6 on slide 10 --

7 --o0o--

8 DR. SILVA: -- bullet two, an increase of
9 unstated magnitude in beta-glucuronidase activity. The AP
10 and beta-glucuronidase enzymes are released by macrophages
11 and inflammatory polymorphonuclear cells, like
12 neutrophils. Enzyme release occurs during phagocytosis
13 when cell membranes are damaged or when the cells are
14 undergoing necrotic cell death.

15 In the Henderson study, the AP levels in the high
16 exposure groups returned to near control levels on days
17 seven and 21.

18 Bullet three. On post-exposure day 21, there was
19 a doubling of tissue alkaline phosphatase, or ALP,
20 activity. ALP is a general marker of lung tissue damage
21 and alveolar type II cell proliferation and has been shown
22 to control chemotaxis of inflammatory polymorphonuclear
23 cells. Alveolar type II cells secrete poly -- excuse
24 me -- pulmonary surfactant essential for proper lung
25 function, and they proliferate when alveolar tissues are

1 dose ratio, or RDDR, using the 1995 study by Jarabek. The
2 RDDR is a ratio of fractional particle deposition in the
3 lungs of animals to that in humans. The pulmonary RDDR of
4 0.35 indicates that humans would have greater pulmonary
5 deposition than hamsters when breathing particles with the
6 size distribution reported by Henderson et al.

7 By multiplying the RDDR by the time-adjusted
8 exposure K from the previous slide, we calculated a human
9 equivalent concentration, or HEC, of 0.10 milligrams per
10 cubic meter. This was adjusted by a number of uncertainty
11 factors to obtain the proposed acute REL. First, we used
12 a lowest observable adverse effect level, or LOAEL,
13 uncertainty factor of 1, since the point of departure is a
14 NOAEL. The LOAEL uncertainty factor is abbreviated in the
15 bottom of the slide as UFL.

16 Slide 13.

17 --o0o--

18 DR. SILVA: We included an interspecies
19 toxicokinetic uncertainty factor, or UFA-k, of 2 for
20 residual toxicokinetic differences not addressed by the
21 HEC approach and an interspecies toxicodynamic uncertainty
22 factor, or UFA-d, of 3 for the lack of toxicodynamic data.

23 Slide 14.

24 --o0o--

25 DR. SILVA: To address differences among humans,

1 we included an intraspecies toxicokinetic uncertainty
2 factor, or UFH-k, of 3 for variability that may occur
3 between human infants and adults, as well as an
4 intraspecies toxicodynamic uncertainty factor, or UFH-d of
5 10 for the potentially increased sensitivity of children
6 relative to adults.

7 In the study by Henderson et al., lung cell death
8 and tissue damage were observed. Between infancy and
9 adulthood, alveolar number, size, and complexity changed
10 exponentially at times, so insults to the lungs during
11 critical time frames can produce irrecoverable damage and
12 stunted lung development. Potential for sensitization and
13 asthma exacerbation were also considered in the
14 designation of the UFH-d.

15 Slide 15 --

16 --o0o--

17 DR. SILVA: -- shows a summary of our acute REL
18 derivation starting at our point of departure up at the
19 top, the NOAEL of 0.55 milligrams per cubic meter. The
20 HEC was ultimately divided by a cumulative uncertainty
21 factor of 200, shown closer to the bottom of the screen,
22 and that was used to obtain the proposed REL -- acute REL
23 of 0.48 micrograms per cubic meter.

24 Slide 16.

25 --o0o--

1 DR. SILVA: This is the start of our discussion
2 on the chronic and 8-hour RELs. The 1999 study by
3 Derelanko et al., was used as the basis of the chronic and
4 8-hour RELs. Chronic RELs are air concentrations at or
5 below which adverse noncancer health effects are unlikely
6 to occur in the general human population exposed
7 continuously over a lifetime. Eight-hour RELs are
8 designed to protect against daily workweek exposures in
9 off-site workers.

10 In the Derelanko study, rats were exposed via
11 nose-only inhalation to air or chromium(III) at 0, 3, 10,
12 or 30 milligrams per cubic meter as basic chromium(III)
13 sulfate at 0, 17, 54, or 168 milligrams per cubic meter
14 for six hours per day, five days per week, over a total of
15 13 weeks.

16 The pH of the basic chromium(III) sulfate was
17 2.8, and there were four to five rats per sex, treatment
18 group, and necropsy time point.

19 Slide 17.

20 --o0o--

21 DR. SILVA: Necropsies occurred immediately after
22 the last exposure or 13 weeks post-exposure. At these
23 time points, blood, BALF, urine, sperm, and various organ
24 tissue samples were collected. OEHHA designated the 3
25 milligram per cubic meter chromium(III) concentration as

1 the LOAEL.

2 Critical effects included increased lung weight
3 relative to body weight in males due to granulomatous
4 inflammation, type II cell hyperplasia, and histiocytosis
5 or excessive tissue macrophages in lymphoid tissues.

6 Slide 18.

7 --o0o--

8 DR. SILVA: Here, you can see that we actually
9 looked at multiple different endpoints with the United
10 States Environmental Protection Agency's
11 Benchmark Dose Software. The viable benchmark dose, or
12 BMD, results for male rat lung weights are highlighted at
13 the top of the table in blue with the benchmark dose
14 response, or BMR, of 0.869 milligrams per cubic meter, and
15 it's 95 percent lower confidence interval, or BMCLSD of
16 0.656 milligrams per cubic meter.

17 The BMR is one standard deviation from the
18 control mean. And for public health protection OEHHA used
19 the BMCLSD as the point of departure for the chronic and
20 8-hour REL derivations.

21 Slide 19.

22 --o0o--

23 DR. SILVA: This slide shows the model that we
24 obtained from the BMD analysis. The BMR and BMCLSD are
25 shown as yellow and green vertical lines respectively at

1 the left side of the graph. And the dose response data
2 points that we used are shown along the blue curve here.

3 Slide 20.

4 --o0o--

5 DR. SILVA: This is the start of our chronic REL
6 derivation. This time our time-adjusted exposure, or C
7 average, shown at the bottom -- the bottom slide here, was
8 calculated by multiplying the BMCLSD by 6 out of 24 hours
9 and five out of seven days. These latter two terms
10 represent the number of hours in a day and days in a week
11 that rats were exposed in the Derelanko study. C average
12 was calculated at 0.117 milligrams per cubic meter.

13 Slide 21.

14 --o0o--

15 DR. SILVA: An RDDR of 0.3 shown at the top of
16 the slide was determined using a multiple path particle
17 dosimetry model. We multiplied this by C average to get a
18 HEC of 0.04 milligrams per cubic meter. And as with the
19 acute REL derivation, the HEC was adjusted by several
20 uncertainty factors to obtain the proposed chronic REL.

21 First, a LOAEL uncertainty factor of one was used
22 because our point of departure, or POD, was a BMCLSD. A
23 subchronic uncertainty factor, or UFs, of three was also
24 used to account for the 13-week study duration.

25 Slide 22.

1 --o0o--

2 DR. SILVA: We included an interspecies
3 toxicokinetic uncertainty factor, or UFA-k, of 2 for
4 residual toxicokinetic differences not addressed by the
5 HEC approach, and an interspecies toxicodynamic
6 uncertainty factor of 3 for the lack of toxicodynamic
7 data.

8 Slide 23.

9 --o0o--

10 DR. SILVA: To address differences among humans,
11 we included an intraspecies toxicokinetic uncertainty
12 factor, or UFH-k, of 3 for variability that may occur
13 between human infants and adults, as well as an
14 intraspecies toxicodynamic uncertainty factor of 10 for
15 the potentially increased sensitivity of children relative
16 to adults and possible asthma exacerbation.

17 Slide 24.

18 --o0o--

19 DR. SILVA: This shows a summary of our chronic
20 REL derivation starting at our point of departure, which
21 was the benchmark concentration of 0.656 milligrams per
22 cubic meter. Our HEC was ultimately divided by a
23 cumulative uncertainty factor of 600 to derive the
24 proposed chronic REL of 0.6 micrograms per cubic meter
25 shown at the bottom of the slide.

1 Slide 25.

2 --o0o--

3 DR. SILVA: The chronic and 8-hour REL
4 derivations are mostly the same, except for the C average
5 concentration that's shown in the middle of the slide.
6 The 8-hour calculations are shown on the right-hand side
7 and the 8-hour REL calculation of C average, there is
8 another multiplier of 20 over 10. And this is based on
9 the assumption that half of the 20 cubic meters of air
10 breathed in any 24-hour period is breathed while active at
11 work.

12 Inclusion of this multiplier brings the 8-hour C
13 average to 0.234 milligrams per cubic meter, the HEC to
14 0.07 milligrams per cubic meter, and the proposed 8-hour
15 REL to 0.12 micrograms per cubic meter.

16 Slide 26.

17 --o0o--

18 DR. SILVA: This is a summary of our 8-hour REL
19 derivation in which the HEC of 0.07 milligrams per cubic
20 meter is adjusted by a cumulative uncertainty factor of
21 600 to achieve the proposed REL of 0.12 micrograms per
22 cubic meter.

23 Slide 27.

24 --o0o--

25 DR. SILVA: OEHHA found no studies concerning the

1 effects of chromium(III) exposure in children. However,
2 it is likely children would experience similar health
3 effects as adults possibly to greater severity. In view
4 of, one, the potential of chromium(III) to produce immune
5 sensitization and allergic asthma, and two, the higher
6 susceptibility of children to these impacts, especially
7 during critical windows of development, OEHHA considers
8 chromium(III) to be a toxic contaminant that may
9 disproportionately impact children.

10 --o0o--

11 DR. SILVA: Slide 28, shows a summary of all of
12 our proposed RELs: the acute REL of 0.48 micrograms per
13 cubic meter; the 8-hour REL of 0.12 micrograms per cubic
14 meter; and the chronic REL of 0.06 micrograms per cubic
15 meter.

16 So slide 29

17 --o0o--

18 DR. SILVA: At this point in the presentation, if
19 there are any questions on the REL derivation, we can take
20 those now.

21 CHAIRPERSON ANASTASIO: So we're going to hold
22 the main Panel discussion for after a short break, but are
23 there any kind of specific questions about anything that
24 Rona said in her presentation?

25 Thank you, by the way, Rona, for your

1 presentation. Appreciate that.

2 DR. SILVA: Thank you.

3 CHAIRPERSON ANASTASIO: Okay. I don't see any
4 kind of small-scale questions. So what we're going to do
5 we're going to take a 10-minute break. I've got 10:23 on
6 my computer. So let's reassemble at 10:33 and then we'll
7 start with the leads asking questions of OEHHA and then
8 we'll go through the rest of the Panel. Okay. So --

9 PANEL MEMBER BLANC: Cort --

10 CHAIRPERSON ANASTASIO: Yes, Paul.

11 PANEL MEMBER BLANC: -- just one quick process
12 question. Normally, at this point, the OEHHA presenter
13 would say something about their response to public
14 documents --

15 CHAIRPERSON ANASTASIO: Good point, right.

16 PANEL MEMBER BLANC: -- comments. Wouldn't that
17 make sense to cover now rather than --

18 CHAIRPERSON ANASTASIO: Yes. That's a very good
19 point. Rona, do you have a response to the set of
20 comments you received?

21 DR. SILVA: We do. We had set up the
22 presentation so that we could include comments at this
23 part of questions. But I am -- I'm ready to go on with
24 the responses -- the comments and responses now, if the
25 Panel wants me to do that.

1 CHAIRPERSON ANASTASIO: Yeah. Let's do that, so
2 that we can consider that in our Panel discussion later as
3 well. Okay. So cancel the break. Sorry, everybody.

4 (Laughter.)

5 CHAIRPERSON ANASTASIO: Sit back down. Coffee is
6 going to have to wait. Rona, if you could please tell us
7 the comments you received and OEHHA's response to them.

8 DR. SILVA: Okay. Sure.

9 So slide 30.

10 --o0o--

11 DR. SILVA: During the public comment period,
12 OEHHA received comments from the Specialty Steel Industry
13 of North America, or SSINA, regarding the draft REL
14 document, or document hereafter, that was released on
15 January 8th, 2021. Those comments are addressed in the
16 subsequent slides.

17 Slide 31.

18 --o0o--

19 DR. SILVA: Comment one. The proposed draft RELs
20 are inapplicable to insoluble elemental chromium(III).
21 OEHHA must revise the scope of the draft RELs accordingly.

22 Response one. OEHHA has added to the document an
23 explicit statement that the RELs are not applicable to
24 water-insoluble chromium(III) compounds or elemental
25 metallic chromium, also known as Chromium(0). OEHHA

1 further states the chromium, or Cr(III) abbreviation,
2 sorry, used in the draft is meant to represent bound and
3 unbound forms of trivalent chromium as the RELs are
4 applicable to the chromium(III) ion.

5 Slide 32.

6 --o0o--

7 DR. SILVA: Comment two. The allergic
8 sensitization and asthma risk evaluation is based on
9 studies that:

10 One, involved individuals first sensitized by
11 exposure to chromium(VI) before being exposed to
12 chromium(III); and two, were performed several decades ago
13 when study methodologies were significantly less rigorous
14 and there was much more widespread environmental exposure
15 to chromium(VI).

16 The relevance of these studies to a current risk
17 evaluation for chromium(III) is questionable.

18 Additionally, nickel is a known sensitizer mostly
19 not discussed in the document. The patient in the study
20 by Novey et al., 1983, exhibited an acute drop in
21 spirometric values and exacerbation of symptoms upon
22 inhaling fumes from a nickel sulfate versus control
23 solution.

24 Slide 33.

25 --o0o--

1 DR. SILVA: Response two. In the later studies
2 by Novey et al., 1983, and Park et al., 1994, it is not at
3 all clear which chromium species caused the initial
4 sensitization in the human subjects. With regard to
5 nickel exposure, human and guinea pig studies failed to
6 find cross-reactivity reactions between chromium and
7 nickel. Concomitant allergies to chromium and nickel
8 could be explained by their co-occurrence during the
9 sensitizing exposures.

10 Control and comprehensive guinea pig studies by
11 Gross et al., in 1968 clearly showed in at least five
12 different experiments that allergic sensitization to a
13 water soluble chromium(III) compound can occur independent
14 of prior exposure to chromium(VI) species. This was
15 especially true if skin permeability is increased by
16 physical or chemical means prior to contact.

17 Slide 34.

18 --o0o--

19 DR. SILVA: Comment three. The estimated
20 prevalence of chromium(VI) allergy in the California
21 population is based on studies that are outdated, involve
22 small cohorts, and/or reflect unfounded assumptions.
23 OEHHA incorrectly states a prevalence of 0.08 percent
24 would account for approximately 316,456 Californians based
25 upon the most recent California populous -- population

1 estimate of 39,557,045 than the U.S. Census Bureau. The
2 math is incorrect. A prevalence of 0.08 percent equates
3 to approximately 31,646 Californians.

4 Slide 35.

5 --o0o--

6 DR. SILVA: Response 3. The 2012 ATSDR
7 toxicological profile for chromium provided the estimate
8 of 0.08 percent to seven percent for chromium sensitivity
9 in the general U.S. population. It's the most recent
10 prevalence estimate found by OEHHA and it did not cite the
11 source of this information.

12 Therefore, OEHHA summarized studies which may
13 have been used to derive the prevalence estimate. Given
14 chromium(VI) to chromium(III) cross reactivity, the 0.08
15 percent to seven percent range was used by OEHHA to
16 calculate a worst-case estimate of the chromium(III)
17 allergy prevalence in California.

18 We thank the SSINA for the math correction. The
19 revised document reflects the corrected lower-bound
20 prevalence estimate of 31,646 Californians.

21 Slide 36.

22 --o0o--

23 DR. SILVA: Comment 4. The rodent toxicity
24 studies have significant methodological problems and OEHHA
25 conflates insoluble elemental chromium(III) results with

1 findings relevant to water-soluble chromium(III) compounds
2 only.

3 In the 1979 study by Henderson et al., nebulized
4 chromic chloride hexahydrate aerosol concentrations of 0,
5 2.8, or 77 milligrams per cubic meter were used. OEHHA
6 identifies the LOAEL at 77 milligrams per cubic meter,
7 then uses the next lowest dose, 2.8 milligrams per cubic
8 meter, as the NOAEL. However, the NOAEL may be
9 substantially higher, given the significant differences in
10 dose.

11 Further, OEHHA applies the results of this study
12 to insoluble chromium(III), though the study was conducted
13 on soluble chromic chloride hexahydrate. In the 1999
14 study by Derelanko et al., some of the effects may have
15 been related to the acidity of the tested chromium(III)
16 salt.

17 Slide 37.

18 --o0o--

19 DR. SILVA: Response 4. The RELs do not apply to
20 insoluble chromium(III) compounds, as mentioned in OEHHA's
21 response to comment 1. With regard to the Henderson et
22 al., 1979 study, there are no data indicating the 2.8
23 milligram per cubic meter concentration should not be
24 considered as the NOAEL. Use of a NOAEL is preferable to
25 use of a LOAEL when deriving a REL. The 2.8 milligram per

1 cubic meter NOAEL is an appropriate point of departure for
2 derivation of the acute chromium(III) REL. Calculations
3 performed with the 2.8 milligram per cubic meter NOAEL
4 resulted in a more health protective draft acute REL value
5 of 2.5 micrograms per cubic meter. Calculations performed
6 with the 77 milligram per cubic meter LOAEL, the same
7 time-adjusted exposure and HEC adjustments and all of the
8 same uncertainty factors, except for the LOAEL uncertainty
9 factor would yield an acute REL of 11 micrograms per cubic
10 meter.

11 Slide 38.

12 --o0o--

13 DR. SILVA: OEHHA has revised its public comment
14 calculation of the acute REL to account for the percentage
15 of chromium(III) in the aerosol.

16 The chromic chloride hexahydrate concentrations
17 of 0, 2.8, or 77 milligrams per cubic meter were converted
18 by OEHHA to chromium(III) equivalent concentrations of
19 approximately 0, 0.55, or 15 milligrams per cubic meter,
20 which accounted for the 28 percent fraction of chromium.
21 Use of metal equivalent concentrations is supported by
22 OEHHA's 2012 REL for nickel and the recent 2020 cancer
23 evaluation for cobalt.

24 Use of the 0.55 milligram of chromium(III) per
25 cubic meter concentration as the NOAEL, along with all of

1 the adjustments entailed in the document, yielded a
2 rise -- yielded a revised acute REL of 0.48 micrograms per
3 cubic meter.

4 Slide 39.

5 --o0o--

6 DR. SILVA: With regard to the Derelanko et al.,
7 1999 study used to derive the draft chronic and 8-hour
8 RELs, the true impact of the aerosol pH is unknown to
9 OEHHA and the study authors due to factors such as the
10 relative concentration of acidic sulfate and ammonia,
11 which were mentioned in section 6.3 of the document but
12 not mentioned in -- or measured in the study.

13 OEHHA does not believe use of basic chromium
14 sulfate by Derelanko et al., in their 1999 study,
15 represents a methodological problem. Rather, the observed
16 responses to basic chromium sulfate are representative of
17 some of the more severe health impacts possible with
18 repeated exposure to inorganic water-soluble chromium(III)
19 compounds.

20 As stated in the document, basic chromium sulfate
21 has been found in chrome plating bath solutions. It is
22 also used by leather tanning and khaki clothes dyeing
23 operations and used to produce other chromic compounds.

24 Slide 40.

25 --o0o--

1 DR. SILVA: Resulting air emissions of basic
2 chromium sulfate from such operations are relevant to the
3 Hot Spots Program, especially since chromium(III) has
4 already been identified as a toxic air contaminant through
5 the listing of chromium and chromium compounds as
6 hazardous air pollutants.

7 It should be noted that the chronic and 8-hour
8 draft RELs have been recalculated based upon new BMDS
9 modeling using the chromium(III) concentration equivalents
10 of 0, 3, 10, and 30 milligrams per cubic meter from the
11 Derelanko et al. study.

12 Slide 41.

13 --o0o--

14 DR. SILVA: Comment 5. The derived RELs are
15 based on inaccurate selection of a LOAEL. Erroneous
16 application of results from water-soluble chromium(III)
17 compounds to insoluble elemental chromium(III), and
18 inappropriate uncertainty factors.

19 Response 5. Most of this comment was addressed
20 in OEHHA's responses to comments 1 and 4 above. The
21 uncertainty factors assessed in the draft RELs were based
22 upon guidance from OEHHA's 2008 technical support
23 document, or TSD, and are in alignment with previously
24 published RELs and data available at this time.

25 With regard to the acute REL, first, a LOAEL

1 uncertainty factor, or UFL of 1, was chosen due to the
2 mild effect, which produced no statistically significant
3 changes in enzyme levels at 0.55 milligrams of
4 chromium(III) per cubic meter. And it was consistent with
5 the severity level of 0 to 1. This is the lowest UFL that
6 can be assigned.

7 Slide 42.

8 --o0o--

9 DR. SILVA: Bullet 2. A toxicokinetic
10 interspecies uncertainty factor, or UFA-k, of 2 was used
11 to account for any residual toxicokinetic differences
12 between the non-primate animal model and humans that were
13 not addressed by the HEC approach.

14 According to OEHHA's 2018 TSD, the HEC accounts
15 for only a portion of the UFA-k, leaving a residual value
16 of 2 that should be assessed. A UFA-k of 2 is the lowest
17 value that can be assigned.

18 Bullet 3. A toxicodynamic interspecies
19 uncertainty factor, or UFA-d, value of 3 was assigned to
20 account for the lack of data on toxicodynamic interspecies
21 differences between the hamster model and humans. A UFA-d
22 of 3 is the default when using the HEC approach.

23 Slide 43.

24 --o0o--

25 DR. SILVA: Bullet 4. A toxicokinetic

1 will reconvene and do the Panel discussion. So I have
2 10:38. So we'll reconvene at 10:48.

3 Thank you, everyone.

4 DR. SILVA: Thank you.

5 (Off record: 10:38 a.m.)

6 (Thereupon a recess was taken.)

7 (On record: 10:51 a.m.)

8 CHAIRPERSON ANASTASIO: Welcome back, everyone,
9 from our short break. We'll now go to Panel discussion of
10 the water-soluble inorganic chromium(III) reference
11 exposure level document. And the leads for this were Joe
12 Landolph and Paul Blanc, so we'll start with them. Joe,
13 would you like to begin.

14 PANEL MEMBER LANDOLPH: Yeah. First off, thank
15 you for letting me review this document. It came out on
16 the authority of the Director Lauren Zeise and the author
17 Dr. Silva, and the technical reviewers Daryn Dodge, John
18 Budroe, and David Siegel. And John is Chairman of Air
19 Toxics and Risk Assessment.

20 And it's clear a lot effort went into this
21 document. It's a very thick document, but it's concisely
22 written. And I appreciated that. So I thought it was a
23 very well researched and well written document. It
24 covered the necessary scientific literature in the field
25 on water-soluble trivalent chromium compounds.

1 To discuss, the whole document is appropriately
2 complete and critical and the document was written in a
3 very clear manner. It's also organized very well. And
4 the summary was written in a very clear manner,
5 appropriately concise, lays out why the document was
6 created due to a statutory requirement that describes the
7 methodology for deriving acute, chronic, and 8-hour
8 reference levels in this case for water-soluble
9 chromium(III) compounds. And sticking with the water
10 soluble, it was very smart on OEHHA's part and the
11 author's part. And it described the RELs and valence
12 states of chromium and everything, so it's very complete.

13 And they say that potential cancer impacts of
14 chromium were not explored -- chromium(III) were not
15 explored in the present document and OEHHA has not
16 developed unit risk of cancer potency factors for
17 chromium(III) compounds and that's because IARC classified
18 chromium(III) compounds as Group 3 agents, i.e., not
19 classifiable as to the carcinogenicity in humans due to
20 inadequate evidence of carcinogenicity. And this is
21 appropriate.

22 And I've also read the criticisms of this
23 document on RELs from the company SSINA, the Specialty
24 Steels Industry and I found the answers and rebuttals from
25 OEHHA to these agency's comments and criticisms were very

1 appropriate.

2 The Director of OEHHA, Lauren Zeise, the author,
3 Rona M. Silva, and the technical reviewers should all be
4 congratulated on the production of this comprehensive and
5 critical document, which is overall of high quality, both
6 in the scientific matters considered in the inhalation of
7 soluble chromium(III) compounds and the excellent and
8 clear manner in which the document was written and led to
9 the derivation of the soluble chromium -- insoluble --
10 soluble chromium(III) compounds.

11 The authors came to a number of conclusions with
12 the RELs that Dr. Silva listed. And I thought that the
13 methodology by which they arrived at that was correct and
14 I had no criticisms of that.

15 Specific comments. I read through every section,
16 at least three times and I like the sections on physical
17 and chemical properties, production, major uses,
18 measurements, and occurrence, production, major uses,
19 measurement of airborne chromium, and there are about ten
20 more sections. And I thought the derivation of the
21 chromium acute reference exposure levels was
22 straightforward as presented here and by Dr. Silva on her
23 slides.

24 I asked them to please state what the
25 abbreviation of RDDR stands for in the document, which is

1 the regional deposited dose ratio and explain it briefly.
2 Otherwise, the derivation of the uncertainty factors, plus
3 the calculation of the REL for soluble chromium(III)
4 compounds, plus the derivation of the uncertainty factors
5 measuring 200 for the acute and 600 for the other two RELs
6 straightforward as presented. Let' see. And what else do
7 I have to say.

8 And I looked through the principal studies that
9 they used. And those were appropriate to use as NOEL.
10 That was all fine.

11 And critique overall, the document is well
12 written, clearly written, takes proper account of the
13 literature on the toxicology of water-soluble
14 chromium(III) compounds and reviews the literature
15 critically indicating whether chromium(III) is a required
16 essential nutrient and handles this latter issue carefully
17 and appropriately as it deserves, since the issue is not
18 completely settled.

19 All the methods used to generate the RELs in this
20 document appear appropriate as the choice for uncertainty
21 factors, resulting in appropriate cumulative uncertainty
22 factors, and therefore appropriate RELs, which should be
23 health protective.

24 The authors, and the reviewers, and the Director
25 of OEHHA are all to be congratulated on a thorough job,

1 well done in composing this document.

2 And so it's unusual that I get a document that I
3 don't put a lot of red on, but this one was pretty clean,
4 so I didn't have to do that. I congratulate the author,
5 the Director, and Dr. Budroe, the Chief of Air Toxic and
6 Risk Assessment, and the other reviewers on a pretty good
7 document. It looks pretty solid to me. And I work in the
8 area of chromium(VI) and chromium(III), so I know this
9 literature pretty well.

10 CHAIRPERSON ANASTASIO: Great. Thank you very
11 much, Joe.

12 Paul, comments.

13 PANEL MEMBER BLANC: I want to start off with a
14 question to the leadership. As Joe said, the introduction
15 states their regulatory requirement to derive these
16 documents essentially for anything which is a toxic air
17 contaminant. But since we've spent so much time on this
18 Panel discussing priorities, I'm wondering why this
19 document was a priority.

20 DR. BUDROE: Can I answer that question, Dr.
21 Blanc?

22 PANEL MEMBER BLANC: Yeah, I think you're the
23 right person to answer it.

24 DR. BUDROE: Okay. This -- trivalent chromium
25 was picked as a priority because California Air Resources

1 Board has been suggesting to -- and the various California
2 air districts have been suggesting to chrome plating
3 facilities, that where possible, they move from using
4 hexavalent chromium with its associated cancer risk,
5 moving from hexavalent chromium in chrome plating
6 facilities to trivalent chromium. And that's a good move,
7 but we want to have some kind of at least non-cancer
8 health values available for the districts to use to be
9 able to put into place for doing hot spots facility health
10 risk assessments.

11 And we didn't want to suggest that they switch
12 over from hexavalent chromium to trivalent chromium
13 without having any kind of idea of what the toxicity of
14 trivalent chromium was.

15 PANEL MEMBER BLANC: Would it not be possible to
16 state that in the overview or the introduction in some way
17 that would not be regulatorily compromised or politically
18 compromised? It seems -- it would have been helpful for
19 me to see that stated more explicitly, because to tell you
20 the truth, I couldn't figure out why this was a priority.

21 DR. BUDROE: Okay. We could add that to the
22 introduction of the document.

23 PANEL MEMBER BLANC: But if you're going to do
24 that, I would also think you would want to say something
25 about nonchromium-dependent plating options or anodizing

1 options, let's just say. There's a nice document that you
2 don't cite, which was a U.S. Army public health center
3 toxicologic review from 2000. Did you happen to see that?
4 It's easily accessible on the Internet. And it's
5 relevant, because it has a nice discussion of trivalent
6 chromium and why despite the -- one of these major
7 methods, which is a sulfuric acid, tartaric acid anodizing
8 method is chromium(VI) free, there are post-treatment
9 sealants that have chromium(III) in them.

10 So just be -- and so that would serve two
11 purposes. That's a product that's probably going to come
12 into more use and would be -- should be described
13 specifically I think in your industrial uses section
14 specific to chromium(III).

15 DR. BUDROE: Okay. We can get that document and
16 look at it and see what would be appropriate to add.

17 PANEL MEMBER BLANC: It's easy -- it's easy to
18 find it. If you -- if you took -- and if you don't -- if
19 you have problems, send me an email.

20 DR. BUDROE: Okay. We'll do that.

21 PANEL MEMBER BLANC: But this sealant is called
22 Chemeon, C-h-e-m-e-o-n.

23 DR. BUDROE: Okay. We will look at that.

24 PANEL MEMBER BLANC: Yeah. The other thing I
25 might say just in a more global sense is the title of this

1 document, which is chromium tri -- comma trivalent
2 parentheses inorganic water-soluble compounds. You know,
3 you've done that explicitly to make clear that you're not
4 talking about insoluble compounds, right? That's why it's
5 titled the way it is.

6 DR. BUDROE: Also, that it's distinction from
7 hexavalent chromium.

8 PANEL MEMBER BLANC: Well, yeah, I know. That --

9 DR. BUDROE: And so we're being consistent with
10 the listing for hexavalent chromium also. We're kind of
11 trying to not -- we're trying to stay in synch with the
12 way we've described hexavalent chromium.

13 PANEL MEMBER BLANC: Well, the -- why?
14 Hexavalent chromium is water soluble. Is there an
15 insoluble form of hexavalent chromium? Does that have the
16 paren -- parenthetic statement in it?

17 I'm talking about the --

18 DR. BUDROE: I'd have to --

19 PANEL MEMBER BLANC: I'm talking about the
20 parenthetic title comment, because my only -- my only
21 comment would be that the naive person reading that title
22 would think that what you're saying is that what's in
23 parentheses is a synonym for chromium trivalent, but it's
24 a subset of chromium trivalent. In other words, this
25 document is chromium trivalent, but inorganic water

1 soluble compounds only.

2 DR. BUDROE: Okay. Well, the listing is designed
3 to be similar to past listings that we've done where
4 solubility -- compound solubility has been an issue, this
5 is the way we've done it.

6 PANEL MEMBER BLANC: With a parenthetical?

7 DR. BUDROE: Right? So the listing (inaudible)
8 is consistent.

9 PANEL MEMBER BLANC: Okay. So you -- what you
10 might want to do is also think about making sure that in
11 your summary -- introduction summary, which starts off the
12 document the summary, you know, starting on page --
13 Appendix D1 -- I'm sorry Appendix I, page I. Just in
14 there you also -- where you do say you're going to be
15 talking about soluble compounds that, you know, you
16 make -- if there's a way making clear that -- what that
17 parenthetical means that I just suggest it.

18 I also suggest in the -- in the summary that you
19 make clear that chromium(III) is chromium plus, plus,
20 plus, you do that later in the document. But again, I
21 know you're so knee deep in this, it's hard to believe
22 that anybody would be confused on that matter.

23 DR. BUDROE: Okay.

24 PANEL MEMBER BLANC: Because I made a note to
25 myself, as I read it, just to make sure that that is what

1 we're talking about.

2 DR. BUDROE: Okay. We'll clarify that.

3 PANEL MEMBER BLANC: And frankly, somewhere else
4 I wouldn't clutter the summary with it, it wouldn't be so
5 bad to have a figure -- this should warm Joe's heart. He
6 always likes figures that just shows the back and forth
7 between oxidized and reduced chrome(III) and chrome(VI)
8 showing that, for example, heat or in the presence of
9 oxygen drives chromium(III) to chromium(VI). You don't
10 actually ever explicitly show what it is that reduces
11 chrome(VI) to chrome(III). You say it happens
12 atmospherically, but you don't say explicitly why it
13 happens. Is it just an equilibrium or is there something
14 about sunlight or -- I mean, that's a major point in where
15 exposure occurs, right, if there's chrome(VI) in the
16 atmosphere and it gets converted to chromium(III) in the
17 atmosphere.

18 DR. BUDROE: Okay. Well, we can -- we can
19 clarify that in the document. I mean, you know, the real
20 point of the listing is for exposure to the community or
21 off-site workers of compounds that are deliberately --
22 that are inherently trivalent chromium compounds. So it
23 would have less to do with atmospheric transformation of
24 say a hexavalent chrome -- chromium compound to trivalent
25 chromium compound.

1 PANEL MEMBER BLANC: Why would that be, because
2 wouldn't it be -- the exposure to the population would be
3 by either source.

4 DR. BUDROE: Because -- the methodology that's
5 used to model the exposures and produce the risk estimates
6 doesn't include that, so it's outside the scope of the
7 methodology.

8 PANEL MEMBER BLANC: Joe, could you -- maybe
9 could you comment here?

10 You're muted, Joe.

11 You're muted.

12 PANEL MEMBER LANDOLPH: Yeah, sorry. What did
13 you want about the atmospheric reduction? Was that what
14 you --

15 PANEL MEMBER BLANC: Yeah, and the idea that it
16 would be helpful to show a little figure showing the back
17 and forth between oxidized and reduced, if that's critical
18 to a lot of the discussion.

19 PANEL MEMBER LANDOLPH: Yeah. I think it is
20 important. I can make -- I'm going to make two
21 suggestions when I send me handout back to John and Lori.
22 The first one is it might be worth citing a paper that
23 came out of our lab years ago, where for mutagenesis and
24 toxicity, the chromium(VI) goes like this on a log scale.
25 And for toxicity in chromium(III) is like a thousandfold

1 less toxic. And the same thing for it's a thousandfold
2 less mutagenic.

3 The other thing is I was a little bit confused
4 about chromium(III) and radic -- oxygen radical generation
5 that was mentioned in the document. Maybe that's
6 something new. It was from a paper you guys cited by John
7 Wise, I think. So I'm very familiar with, you know,
8 chromium(VI) going to (V), to (IV), to (III). And (III)
9 interestingly makes a coordinate covalent complex with DNA
10 and then that has to get repaired and you get mutagenesis
11 during the misrepair.

12 And then you also get some chromium(VI) and (V),
13 you get a generation of an electron which goes to oxygen,
14 which makes superoxide, two of those make hydrogen
15 peroxide. That oxidizes DNA and gets misrepaired. And
16 then you also get the reduction of hydrogen peroxide which
17 turns it into hydroxyl radical and hydroxyl ion and that
18 oxidizes DNA basis. So I'm very familiar with that for
19 chromium(VI).

20 I guess for chromium(III) I'm wondering if the
21 instances you referred to were (III) getting oxidized up
22 to (V) or (VI), and then doing this thing. Do you have a
23 comment on that, John.

24 DR. BUDROE: I do not. I'd have to go back and
25 look at the citation.

1 PANEL MEMBER LANDOLPH: Okay.

2 DR. BUDROE: Rona.

3 DR. SILVA: Yeah. So that citation by Wise et
4 al. was actually a book. And they had a section that was
5 on -- that discussed the Haber-Weiss reactions of
6 chromium(VI) and chromium(III). But I can go through and
7 look at that to see if whether there's anything further
8 that I can add to the document to clarify how those
9 reactions take place, intracellularly.

10 PANEL MEMBER LANDOLPH: Yeah, that would be good,
11 because I'm used to thinking of chromium(III)
12 thermodynamically dead-end product which will bind to DNA,
13 but extracellularly is not important, because it just
14 comes out in the urine. Intracellularly, it's important,
15 because it binds to DNA, but I've not heard about it
16 generating oxygen radicals before, whereas (VI) is well,
17 well known to do that.

18 DR. SILVA: Right. There actually quite a few
19 studies that were done in vitro that looked at oxidant
20 generation -- sorry, reactive oxygen species generation by
21 chromium(III), and also the associated toxic responses. I
22 didn't kind of go into that, but, yeah, I'll take your
23 point and revise that section a little bit more to make it
24 clearer.

25 PANEL MEMBER LANDOLPH: And I'll put my comments

1 into my review and send them to you also, so you'll have
2 it.

3 DR. SILVA: Thank you.

4 PANEL MEMBER LANDOLPH: My pleasure.

5 PANEL MEMBER KLEINMAN: This is Mike. I just
6 wanted to point out with regard to the atmospheric
7 reactions, it's actually in the document in the section on
8 measurement of airborne chromium. But chromium(VI) reacts
9 with organic molecules, including diesel particulates that
10 have organic coatings and secondary organic aerosols. And
11 the chromium is a strong oxidizer. It oxidizes these
12 compounds and in the process becomes reduced. So that's
13 sort of the transport. So chromium(VI) does reduce to
14 chromium(III). And then in the presence of free radicals,
15 chromium(III) can be oxidized up.

16 The thing with chromium(VI) is that it's in
17 anionic form and it can enter through cell membranes and
18 nuclear membranes. So chromium -- hexavalent chrome can
19 penetrate through the nuclear membrane. And then the
20 hexavalent is reduced internally in the nucleus to
21 chrome(III) and then goes on to react with DNA.

22 So that's partially, yeah, the rationale for the
23 carcinogenicity of chrome(VI). And chrome(III) does not
24 have the ability to go across, because it's cationic. It
25 doesn't cross the cell membrane directly and that's why it

1 ends up being excreted more readily.

2 PANEL MEMBER BLANC: So I would say -- to me,
3 that's just a further argument for the potential utility
4 of such a figurative display of the pathways of oxidation
5 and reduction. And it's a good segue also to another
6 general point, so this isn't textual, per se, except that
7 I think it could be better stated in the summary -- the
8 two-page summary at the beginning -- the two-page
9 narrative summary, which is the sort of elephant in the
10 room of sensitization to chromium(VI) and cross-reactivity
11 with chromium(III).

12 So it's stated in the summary, chromium(III)
13 can cause sensitization and induction of asthma de novo,
14 that people who have asthma -- allergic asthma with
15 coughing, wheezing, difficulty breathing, and
16 decrements -- with short-term exposure, I was completely
17 unclear what the point of separating number one and number
18 two. And it would seem to me that the point you're -- the
19 document is more convincing on is that persons who have
20 been sensitized to either chromium(VI) or chromium(III),
21 the former being very well documented and inarguable, and
22 sensitization to chromium(III) -- initial sensitization to
23 chromium(III) being hard to prove, but it's very -- I
24 think you establish it well that there would be
25 anticipated responses to someone -- cross-sensitivity to

1 chromium(VI), if somebody's exposed to chromium(III).

2 And then you -- but you -- then you go on to say
3 this document however is not going to -- realizes that
4 it's not going to be looking at standards which are
5 protective against asthmatic responses in people who are
6 sensitized.

7 So those things in combination are -- present the
8 possibility for confusion in the reader as to what is
9 really meant and what the purpose of this is.

10 But let me ask the first part first. Do you
11 disagree with the statement that it's, I think, convincing
12 that there is enough cross-sensitivity that if you
13 challenge someone who had asthma to chromium(VI), they
14 would be likely to respond to chromium(III), but that you
15 don't really have data showing anybody gets sensitized to
16 chromium(III) or do you think your data support -- that
17 strongly support sensitization to chrome -- primary,
18 original sensitization to chromium(III)?

19 From a public health point of view, I actually
20 don't think you have to show that, if people are going to
21 respond to chromium(III) if they've been sensitized to
22 chromium(VI). But I'm just wondering what you think
23 you've shown in the document.

24 DR. SILVA: I think -- do you mind just pointing
25 me to where --

1 PANEL MEMBER BLANC: I'm looking at --

2 DR. SILVA: I think --

3 PANEL MEMBER BLANC: I'm looking at summary page
4 ii.

5 DR. SILVA: Okay.

6 PANEL MEMBER BLANC: And where it says,
7 "Inhalation exposure to water-soluble chromium(III)
8 compounds has been shown to cause adverse respiratory
9 effects in animals and humans, including but not limited
10 to sensitization and induction of asthma with repeated
11 exposure; allergic asthma with coughing, wheezing,
12 difficulty breathing; and decrements in lung function with
13 short-term exposure", and then 3, the tox effects that
14 actually generate your exposure limits, your RELs.

15 DR. SILVA: And so --

16 PANEL MEMBER BLANC: One and two have no impact
17 on your RELs whatsoever, except in one way I'm going to
18 come back to in a minute.

19 And so two things. One, I don't understand what
20 the difference is between inducing asthma and then having
21 an asthmatic effect, numbers one and two. Those are
22 related to the same thing.

23 DR. SILVA: Okay.

24 PANEL MEMBER BLANC: But what are the studies
25 that you believe show that chromium(III) specifically

1 induces asthma? You've shown that you -- that the people
2 who are sensitized presumably to chromium(VI) have
3 responded to challenges with chromium(III) in the one
4 study where it's actually been done.

5 DR. SILVA: Yeah, I think that -- is my -- I'm
6 hearing a little bit of feedback. Am I sounding okay to
7 you guys?

8 DR. BUDROE: Yes.

9 PANEL MEMBER BLANC: You sound okay to me.

10 DR. SILVA: Okay. So I think that part of the
11 issue is that we had initially included another study that
12 we took out at a later date, because it was -- it was sort
13 of deemed to be unethical[SIC]. And I think that this
14 part of the -- I probably forgot to revise this part of
15 the REL document when we took that out.

16 But in answer to your question, so some of the
17 studies, the earlier studies, and this is what I had
18 responded to in the -- to the SSINA. While some of the
19 studies do require that chromium(VI) exposure happened
20 before sensitization, there are the two studies the Novey
21 and Park studies where we're not sure whether chromium(VI)
22 was what caused sensitization.

23 And you're right, I agree, we don't have a study
24 that conclusively shows that chromium(III) causes
25 sensitivity in humans, but there are those comprehensive

1 guinea pig studies that were done by Gross where they do
2 show -- I know that in the document there's a really long
3 set of results shown in a table where chromium(III)
4 exposure causes sensitization to both chromium(III) and
5 chromium(VI). So that's sort of the strongest -- I guess,
6 the strongest evidence that we have.

7 PANEL MEMBER BLANC: Which can you refer me to
8 the page and the study, the table?

9 DR. SILVA: It's Gross et al. is the study. Let
10 me try and do a search. So Section 5.2 discusses
11 chromium(III) to chromium(VI) cross-reactivity in guinea
12 pigs.

13 PANEL MEMBER BLANC: Yeah.

14 DR. SILVA: So that's page 55 if you're looking
15 at the hard copy.

16 PANEL MEMBER BLANC: Yeah, hold on.

17 DR. SILVA: And then let me try and find the
18 table.

19 PANEL MEMBER BLANC: You're saying it's Gross?

20 DR. SILVA: It's Gross, et al., yeah. It's Table
21 6.

22 PANEL MEMBER BLANC: Yeah.

23 DR. SILVA: And that's on page 57.

24 PANEL MEMBER BLANC: It's 19 -- the 1968.

25 DR. SILVA: Right. Right. So it is an older

1 study. So pages 56 to 57, 57 being the pages where
2 chromium(III) was used as the sensitizer.

3 PANEL MEMBER BLANC: Okay. Well, you -- so I'd
4 say two things.

5 DR. SILVA: Okay.

6 PANEL MEMBER BLANC: One is I would be more
7 specific in your language then. And I would actually in
8 your text make a bit more of that, since it completely was
9 lost on me in the table. I mean, you say there's -- there
10 is one study that you can cite, which shows sensitization
11 with chromium(III) as is possible in an animal model. And
12 you -- you know, and I'm assuming you've looked at that
13 closely, so you know that it was -- you know, they used
14 chromium -- trivalent chromium without any hexavalent
15 chromium contamination, that was -- as far as technically
16 could be done. And then in terms of humans, you have no
17 such data.

18 DR. SILVA: Okay.

19 PANEL MEMBER BLANC: It is strong, but you
20 certainly can say that humans who are sensitized with
21 chromium(VI) can cross-respond to chromium(III) in the one
22 inhalation study that you have that did that, right?

23 DR. SILVA: Okay. And you want that in the -- in
24 the summary section to clarify on page ii?

25 PANEL MEMBER BLANC: I would clarify there and I

1 would --

2 DR. SILVA: Okay.

3 PANEL MEMBER BLANC: -- clarify later in your
4 text, if you feel you haven't sufficiently brought that
5 point home --

6 DR. SILVA: Okay. Okay. I can do that.

7 PANEL MEMBER BLANC: -- because it's -- and then
8 I think the other thing -- and, John, maybe you want to
9 comment, but I think the other thing you want to do in
10 this overview introduction is say that although you're not
11 using this as a basis for an R -- for the source study of
12 the REL, you are taking this into account in your
13 adjusting factors --

14 DR. SILVA: Okay.

15 PANEL MEMBER BLANC: -- since in the presentation
16 you said that one of your reasons for using an
17 intraspecies in humans uncertainty factor was because of
18 asthmatic responses in children.

19 DR. SILVA: Okay.

20 PANEL MEMBER BLANC: You said that, right, in the
21 text and in your presentation?

22 DR. BUDROE: Yes.

23 DR. SILVA: Yes.

24 PANEL MEMBER BLANC: Which is a different
25 argument than the argument about children have developing

1 alveoli, and therefore they may be more sensitive. That's
2 a separate --

3 DR. SILVA: Okay.

4 PANEL MEMBER BLANC: -- and equal argument. But
5 if you're going to say that it's because of asthma, then
6 you can't say you're not considering asthma at all.
7 You're considering it, but only insofar as you're going to
8 use it in your uncertainty factor considerations. And I
9 would say that --

10 DR. SILVA: Okay.

11 PANEL MEMBER BLANC: -- up front here, because
12 otherwise somebody reads this and they say, well, you said
13 you weren't going to use asthma.

14 DR. SILVA: Okay.

15 PANEL MEMBER BLANC: And that also, by the way,
16 justifies, in a sense, spending as much time as you do on
17 cross-reactivity.

18 DR. SILVA: Okay.

19 DR. BUDROE: We could do.

20 PANEL MEMBER BLANC: Does that make sense?

21 DR. SILVA: Yes.

22 DR. BUDROE: Yes.

23 PANEL MEMBER BLANC: And I think it would
24 strengthen what you're talking about. I think that in the
25 sections where you talk about production and uses of

1 chromium(III), obviously a big challenge in all of this is
2 where chromium(VI) is used in addition to chromium(III),
3 or where chromium(VI) may get converted to chromium(III)
4 and all of that. But I did find that somehow the presence
5 of chromium in cement manufacturing, and in concrete
6 applications, and concrete finishing was present in only a
7 very fleeting way.

8 And I'm not saying there should be a whole new
9 section on that, but I'm -- I think part of the problem is
10 that most of that literature is concerned with
11 chromium(VI), but there certainly have been people who
12 have gotten asthma from working with cement. And those
13 people are sensitive to chromium(VI), and therefore could
14 be sensitive to chromium(III), but -- so that's relevant
15 from that point of view, but that also some of this
16 concrete dust becomes atmospheric, I suppose. Well, I
17 know cement manufacturing. So I'm assuming that's a big
18 point -- source point of chromium(VI) if you did a hot
19 spot -- when you did your hot spots. And therefore, that
20 all gets converted to chromium(III) much of it, even
21 though that's not your concern here with the studies that
22 you use.

23 But in the parts that talk about sources and
24 uses, I just thought that it was I expected to find more
25 about cement and concrete there than was. It was very

1 fleeting.

2 DR. SILVA: And so just to clarify, how you would
3 want that section revised. Are you wanting more
4 information about specific studies -- study summaries or
5 just --

6 PANEL MEMBER BLANC: No. No.

7 DR. SILVA: Okay.

8 PANEL MEMBER BLANC: You can just say, you know,
9 we also recognize that chromium(VI) is a major issue in
10 concrete and cement, but we will not be alluding to it
11 further -- and even in sensitization, but we won't be
12 covering it more here or whatever you -- whatever caveat
13 you want.

14 DR. SILVA: Okay. Yeah, it was kind of a
15 difficult needle to thread in that --

16 PANEL MEMBER BLANC: Oh, yeah.

17 DR. SILVA: -- I do know the studies that you're
18 talking about. I have seen those, but, you know, in
19 trying to focus on chromium(III), we excluded a lot of the
20 studies in which the exposures were dual chromium(VI) and
21 (III), but I can do what you're saying.

22 PANEL MEMBER BLANC: Yeah.

23 DR. SILVA: I understand what you're saying and I
24 can do that, yeah.

25 PANEL MEMBER BLANC: Because otherwise, it makes

1 it sound like you did -- you're not aware of that --

2 DR. SILVA: Yeah, no I understand.

3 PANEL MEMBER BLANC: Right. And can you say a
4 little bit about what the ethical considerations were that
5 made you exclude an otherwise relevant study to
6 chromium(III) sensitization?

7 DR. SILVA: It was -- so this was a very old
8 study that was performed in primarily African-American
9 prisoners. And it was performed before IRB considerations
10 were in place. And maybe that's something that John can
11 talk more about. There was some discussion within OEHHA
12 and we decided to exclude it.

13 PANEL MEMBER BLANC: And what did it -- what was
14 the exposure to? They did chromium(III) specific?

15 DR. SILVA: They were chromium(III) sensitization
16 studies, yes. Walnut studies, but experiments within one
17 study. I can share the -- I can share the -- the
18 publication with you via email, if you want.

19 PANEL MEMBER BLANC: Yeah, sure. I'd be curious
20 to see it.

21 DR. SILVA: Okay.

22 PANEL MEMBER BLANC: I mean, it's not the first
23 time that that kind of issue has come up. So I'd be
24 curious to hear.

25 But in any event, I think I made my point

1 sufficiently about the sort of overview. And you really
2 almost can't give too much consideration to those sorts of
3 things, because it's setting up the whole thing.

4 And the -- of course, the other -- the other
5 issue that John had already alluded to and also Mike, you
6 know, the issue with -- it's almost -- it's not semantic,
7 but a lot of what we think of as chromium(VI) issues are
8 chromium(III) issues, right, because it gets into the body
9 and then it acts as chromium(III).

10 So you might want to also say that as a caveat.
11 It's not like we're ignoring -- it's not that we're not
12 aware that chromium(VI)'s affects are once it gets reduced
13 to chromium(III), but that's beyond the scope of this
14 document or however you want to say it.

15 DR. SILVA: Okay. I can do that.

16 DR. BUDROE: Yeah, we can clarify that.

17 PANEL MEMBER BLANC: Add also, can you say
18 something about the chromium content of secondhand
19 cigarette smoke and what form that's in? That must be
20 chromium(VI), because of the heat.

21 DR. SILVA: That was not something that I found
22 in my research for chromium(III). I don't know. Is that
23 something that one of the Panel members might know about?

24 PANEL MEMBER BLANC: Karen. Karen, do you have
25 any comment? There is chromium in cigarettes, right,

1 cigarette smoke?

2 DR. SILVA: Do you know on that --

3 CHAIRPERSON ANASTASIO: I think, Ahmad, do you
4 want to say something about that.

5 DR. SILVA: Oh, sorry. Sorry.

6 CHAIRPERSON ANASTASIO: Ahmad, you're muted.

7 Ahmad, you're muted.

8 PANEL MEMBER BESARATINIA: Okay. Yeah, I just
9 want to add that a significant, but relatively newer
10 source of chromium exposure is electronic cigarette use or
11 vaping, which is not covered in this report. And that is
12 important, given the increasing popularity of vaping,
13 especially among adolescent and young adults. And the
14 fact that vaping occurs in many public places, so the
15 source of exposure seems quite important for public health
16 and also relevant for regulatory purposes concerning the
17 vaping industry and product manufactured.

18 It is now established that chromium and other
19 heavy metals can leak from the metal coil in cigarette
20 devices into the liquid. And after vaporization, they can
21 get inhaled by the e-cigarette users. And there have been
22 quite a wide ideal of literature available showing
23 detectable levels of chromium and other heavy metals in
24 various tissues and organs of electronic cigarette users.
25 And they have also been linked to adverse biological

1 effects, some of which have been mentioned in this report.

2 There is also -- I have come across quite a few
3 reports where were chromium and other toxic metals have
4 been measured and detected in the ambient air of people
5 who wait, which may constitute a source of secondhand
6 exposure for bystanders. And as I said, the literature is
7 growing on this considering the fact that e-cigarette is a
8 relatively newer technology.

9 As for the point that Paul just mentioned, the
10 concentration of many of these heavy metals in e-cigarette
11 vapor is either comparable, but in some instances even
12 higher than those in smoke from tobacco cigarettes and
13 that has been shown by analytical chemistry. And I'm
14 wondering what the Panel, and John and Rona think about
15 this.

16 PANEL MEMBER BLANC: Well, is that Chromium(VI)
17 or chromium -- trivalent chromium.

18 PANEL MEMBER BESARATINIA: Well, I am not sure
19 about it. But I can go back through the literature. I
20 think there have been quite a few studies by NIH inter --
21 NIH internal labs in mid-2000 -- I think 2015, 2014. And
22 I think that would be published in different tobacco
23 research and chemical research toxicology journals, if I
24 remember correctly.

25 DR. SILVA: I can look through that as well. I

1 know that chromium, in general, tends to accumulate in the
2 lungs over time with age. And some of that has been
3 associated with exposure to cigarette smoke, but I didn't
4 find anything that was specific to chromium(III). A lot
5 of the studies that I found during my literature review
6 were studies in which they did not actually speciate the
7 chromium. But I'll look into that, because I do
8 understand that it's an important consideration, the
9 vaping and cigarette smoke.

10 CHAIRPERSON ANASTASIO: Thank you, Rona.

11 Mike, did you want to follow up and then we'll go
12 to Joe?

13 PANEL MEMBER KLEINMAN: Yeah. Thank you.

14 Yeah. On the vaping, the coils that they use are
15 stainless steel and nickel chromium alloys, for the most
16 part. And there is transport -- you know, leakage from
17 the coils into the vaping fluid. And then it gets
18 aerosolized when you heat the vapor -- you know, the
19 liquid up. So the cloud does contain small amounts of
20 chromium.

21 But all of the data that I've seen is related to
22 total chromium, because the samples are just analyzed by
23 ICP-MS. And I think that leads us to a -- you know, one
24 of the things that mentioned in the document, they talk
25 about the really arduous ways you have to use to get real

1 measurements of chromium(III). And these are not things
2 that you would formally do analytically. So we take
3 our -- you know, generally the best approach is collect
4 the sample and they use ion chromatography with a reactor
5 to isolate out the chromium(VI). And then you measure
6 total chromium with something like atomic absorption or
7 ICP-MS and then you subtract those two numbers to get
8 chromium(III). So our knowledge for the most part of
9 environmental levels of chromium(III) are really subject
10 to large uncertainties.

11 CHAIRPERSON ANASTASIO: All right. Thank you,
12 Mike.

13 Joe, did you have a comment?

14 PANEL MEMBER LANDOLPH: Yes. Just a brief one.
15 It was a question Paul had asked of John. And it was
16 about are there insoluble chromium -- hexavalent chromium
17 compounds and the answer is, of course, yeah, there are.
18 Lead chromate is one we've worked with extensively. It
19 used to be used to paint airplanes, because it stops the
20 corrosion of iron and the chromium instead gets reduced.

21 And then that was replaced by strontium chromate
22 because lead chromate was too carcinogenic, too toxic.
23 And then that was replaced by barium chromate. And
24 strontium chromate and barium chromate are less insoluble.
25 They're very slightly soluble. And you can actually watch

1 some of the particles dissolve as a function of time. So
2 kinetically they become soluble over time. So that's just
3 to clear up the question about chromium.

4 PANEL MEMBER BLANC: Thanks.

5 CHAIRPERSON ANASTASIO: All right. Thank you,
6 Joe.

7 Go ahead, Paul.

8 PANEL MEMBER BLANC: That reminds me of when I
9 was doing a summer internship with Shell Oil. And that
10 one of my tasks was to track down some information on
11 material and safety data sheets at the time. And their
12 proprietary yellow paint was strontium chromate. And so I
13 called the manufacturer and said, you know, your material
14 safety data sheet doesn't say anything about, you know,
15 carcinogenesis of chromium(VI). And they said -- the guy
16 said, well, it's just like all that talk about lead being
17 toxic.

18 (Laughter.)

19 PANEL MEMBER BLANC: Anyway. Let me -- I'm
20 sorry.

21 CHAIRPERSON ANASTASIO: Sorry, Paul, before you
22 get going, Kathy had her hand up.

23 PANEL MEMBER BLANC: Oh, sorry.

24 CHAIRPERSON ANASTASIO: Kathy, did you want to
25 make a comment on something?

1 PANEL MEMBER HAMMOND: Sure. I did -- don't mean
2 to interrupt Paul, if he wants to finish though.

3 PANEL MEMBER BLANC: No, go ahead.

4 PANEL MEMBER HAMMOND: So thank you very much for
5 the document. I was surprised. Normally, we see a lot
6 more information about air concentrations and airborne
7 concentrations, because that's kind of the focus of this.
8 And most of the discussion was about occupational
9 exposures. And I understand that -- the issues about the
10 valences, the different valences and the uncertainty and
11 all of that.

12 But if there's no other information available, I
13 do think total chromium should be reported, because
14 obviously chrome -- chromium(III) will be something less
15 than all of chromium. And certainly, the other issue is
16 that, in general, every time we talk about chromium(VI),
17 they say, well, the total chromium is always all
18 chromium(III).

19 So I think what we need to -- it would be good to
20 be -- to discuss then what the chromium if (III) and/or
21 chromium total concentrations are airborne to be part of a
22 hot spot kind of discussion.

23 DR. SILVA: I can do that.

24 PANEL MEMBER HAMMOND: Because I think that's a
25 critical piece of this. And then I sent some small minor

1 corrections to John that -- to take care of.

2 DR. SILVA: Okay. Thank you.

3 PANEL MEMBER HAMMOND: Okay. Thank you,

4 CHAIRPERSON ANASTASIO: Thank you, Kathy.

5 DR. SILVA: Thank you.

6 CHAIRPERSON ANASTASIO: Okay. Paul, back to you.

7 PANEL MEMBER BLANC: Yeah. So I mean clearly a
8 big part of the setup is the discussion of cross-
9 reactivity between -- the cross-sensitization. If you're
10 sensitized to chromium(VI), are you sensitized to
11 chromium(III)? And I thought the -- I thought in summary
12 that that was convincing, which is why I would put that
13 more clearly in the general summary.

14 But I did come across a paper, which I thought
15 was quite relevant to your argument and supportive, but
16 it's not cited. And it's by Lindemann, L-i-n-d-e-m-a-n-n,
17 first initial M on a detection of chromium allergy by
18 cellular in vitro methods. And its one of the few papers
19 that specifically cross-compares the in vitro response to
20 trivalent chromium and hexavalent chromium.

21 So -- and it shows that there's almost complete
22 in vitro cross-responsiveness, but that -- and there's a
23 dose response, but that the response to trivalent chromium
24 is far, far less per weight. And they speculate it's
25 because it binds almost immediately to proteins.

1 DR. SILVA: Okay. Thank you.

2 PANEL MEMBER BLANC: So I would suggest that you
3 cite that paper, which is --

4 DR. SILVA: Okay.

5 PANEL MEMBER BLANC: -- from 2008, so it's not an
6 old, old paper.

7 DR. SILVA: Thank you.

8 PANEL MEMBER BLANC: Because I think the argument
9 is quite important later on.

10 Can I ask, on a completely unrelated front, on
11 Table 4, on page 33, which is tissue deposition, which has
12 the GI tract in general, but doesn't have deposition in
13 the pancreas in that summary. I don't know if that's
14 because it wasn't in the paper or you just didn't include
15 that as a row. And I wonder if there's any data you came
16 across on depo -- pancreatic deposition, per se.

17 DR. SILVA: No. This -- I -- if I found anything
18 on the pancreas, it would definitely be in the paper.
19 This table was -- so all of the tissues shown in the table
20 are tissues that were reported. There's nothing that I
21 excluded, even though some of the calculations were done
22 by OEHHA. We had to have the first column, fraction of
23 total body deposition, that part was reported by
24 Henderson. So, yeah, I didn't have pancreas information.

25 PANEL MEMBER BLANC: And is there -- was there

1 any from any other study?

2 DR. SILVA: Not that I know of. Not for
3 inhalation, anyway.

4 PANEL MEMBER BLANC: Okay. Because you had the
5 earlier discussion as to whether or not chromium was, in
6 fact, an essential nutrient.

7 DR. SILVA: Right. That brief section, yeah,
8 sentence.

9 PANEL MEMBER BLANC: Yeah. And it -- I mean,
10 it's always been my understanding that chromium is, in
11 fact, a necessary enzymatic co-factor in certain enzymatic
12 functions, is that not the case?

13 DR. SILVA: I'll have to look at that information
14 again. I can't remember what the dispute was exactly as
15 to why some people were thinking it was a non-essential
16 nutrient. I recall very vaguely discussions about lipid
17 metabolism and -- in chromium(III), so it may have
18 something to do with that, but I'll have to look
19 specifically at those -- at those studies again.

20 And I think Dr. Landolph has something to say.

21 PANEL MEMBER LANDOLPH: Yeah. There was a
22 dispute. The original investigators determined some kind
23 of a chromium complex they thought. But Max Costa and his
24 colleagues went back and redid those experiments and they
25 claim that it's not a -- it's not a serious complex. So

1 it's been in dispute for years. And I think Rona and her
2 colleagues answered it -- you know, stated it precisely.
3 It's still a controversial aspect and more experiments
4 need to be done to prove it or disprove it. So Costa's
5 camp is firmly against that discovery for various
6 technical reasons. And the other --

7 PANEL MEMBER BLANC: You mean, that chromium is
8 an enzyme -- in enzyme complexes?

9 PANEL MEMBER LANDOLPH: No. That it's a -- that
10 it's an essential nutrient.

11 PANEL MEMBER BLANC: But is there any debate as
12 to whether or not chromium is a metal which is -- partakes
13 of human enzymatic function? Is that the debate?

14 PANEL MEMBER LANDOLPH: It's a debate that it's,
15 in the form that the original authors published it, that
16 it was actually a complex that functioned as an essential
17 biochemical function. That seem to still be in dispute
18 between these two camps.

19 PANEL MEMBER BLANC: Interesting.

20 PANEL MEMBER LANDOLPH: And now they have taken
21 that and they're using mega doses of chromium(III) and --

22 PANEL MEMBER BLANC: No, I -- okay.

23 PANEL MEMBER LANDOLPH: -- diabetes and --

24 PANEL MEMBER BLANC: Curious. All right. That
25 was my question on that.

1 So I want to just then go forward to the actual
2 calculations and the uncertainty factors. By the way, I
3 also want to echo Kathy's very good point about the
4 importance of saying something about air levels. And, you
5 know, if Kathy is saying this is all occupational, where
6 is the air pollution, you know that you've got an issue,
7 right? Because usually it's she and me that are saying
8 where is the occupational data or you've ignored the
9 occupational data.

10 So my question has to do with the mathematics of
11 the calculation for the acute REL. And it's based on an
12 assumption that since you do a benchmark approach with the
13 chronic and 8-hour, that that benchmark statistical
14 approach takes into account the size of the individual
15 groups of animals.

16 So maybe that's a false assumption, but let's
17 assume that's correct. For the acute REL, where it's not
18 a benchmark approach, are you -- do you have enough
19 uncertainty reflected given the fact that this is all
20 based on four animals in each of the exposure groups? And
21 I also take great exception to when you said the reason
22 why the 55 or the lowest dose was a no-effect dose is
23 because there was no statistical difference in certain of
24 the outcomes.

25 I mean, it's amazing that there was any

1 statistical difference in any of the pairwise comparisons
2 with four animals. You don't actually say what the
3 difference was that wasn't statistically significant. So
4 if you told me that the difference was trivial and not
5 significant, that's one thing. But since you don't say
6 what the differences are, I can't really tell. So those
7 are two separate points.

8 DR. SILVA: Okay. I can -- so first point was
9 that you -- would you -- are you requesting the exact P
10 values that are -- that were associated, is that helpful?

11 PANEL MEMBER BLANC: I think the P values are
12 meaningless. I think it's -- I mean, on that front, it's
13 not what the P value was. Although, if you told me the P
14 value was 0.10, I'd say, well, with four animals in each
15 group, it was probably a pretty darn big difference. But
16 I guess is it a trivial -- is the observed difference
17 trivial? Let's leave the statistics aside -- out of the
18 point of four animals in each of the two groups.

19 But with that limitation, the second part of my
20 question is is your uncertainty factor -- do you need an
21 added uncertainty factor, given how small your groups are?

22 DR. SILVA: To account for the potentially
23 insufficient power statistically is what you're saying?

24 PANEL MEMBER BLANC: Yeah.

25 DR. SILVA: So I am not sure if I can add

1 additional -- which uncertainty factor are you thinking of
2 specifically? Because a lot of the ones that I assigned
3 were --

4 PANEL MEMBER BLANC: They were boilerplate.

5 DR. SILVA: Yeah.

6 PANEL MEMBER BLANC: But you can always add an
7 extra uncertainty factor, which we've done, if the --

8 DR. SILVA: Okay.

9 PANEL MEMBER BLANC: -- if the database is really
10 not very sufficient and robust.

11 DR. SILVA: Okay.

12 PANEL MEMBER BLANC: We have good precedent for
13 doing that. And so there are two parts to my question.
14 One -- and your argument that this is -- the no effect
15 level is perhaps even more important. Is this really a no
16 effect level or not? How sure are you of that? So the
17 questions are interrelated, right, if everybody follows my
18 gist. And since Karen has inherited Stan's role, I think
19 maybe you should -- you have your hand up. I'd love you
20 to comment on this.

21 PANEL MEMBER MESSER: I actually had somewhat the
22 same question. And I want to -- you know, I'm new to
23 this, so I'm mostly sitting and listening. And I do want
24 to echo the first reviewer's compliments about how well
25 written this report was, because I felt -- after I read it

1 a few times, I felt like I pretty much understood what was
2 going on, which is a challenge on your first go-round.

3 But I did have --

4 DR. SILVA: Thank you.

5 PANEL MEMBER MESSER: -- the -- and our
6 presentation, by the way, I thought it was clear and very
7 helpful. I learned more from the oral presentation.

8 But I did have somewhat the same question that
9 Dr. Blanc is raising right now on that one calculation,
10 that it -- four animals per group is very small. And the
11 fact that no adverse effect level was on the basis of lack
12 of statistical significance raised the same question in my
13 mind, what was the observed difference.

14 So I didn't look up the paper, which I think is
15 the next step, to see if they present the actual data in
16 that paper and perhaps that would be convincing. You
17 know, if you look at the data and you see a huge effect at
18 the next dose up and then a pretty small effect at the no
19 adverse effects level, then you have more confidence in
20 it.

21 I think the uncertainty factor would be the one
22 associated with the -- if I'm remembering all this
23 correctly, would be the one associated with the no adverse
24 effect level, which right now is one, so that you could
25 raise that to a two maybe to represent that there's

1 perhaps still some uncertainty or whatever the next
2 appropriate level would be. But I think that's where it
3 would be -- the uncertainty factor would be, if I'm
4 following the methodology, at this point.

5 DR. SILVA: Okay. I can -- I can look more at
6 the study again to see how much detail they provided. And
7 then I can also talk with John to see how much leeway we
8 have in terms of adjusting some of the different
9 uncertainty factors with regard to your comments on the
10 animal number and the potential lack of statistical power.

11 PANEL MEMBER MESSER: And again, I don't know
12 what your standard practice is yet --

13 DR. BUDROE: Right.

14 PANEL MEMBER MESSER: -- just looking at this for
15 the first time. So I wouldn't be arguing to go outside
16 your standard practice, I guess --

17 DR. BUDROE: Right. Well, one, if we were to do
18 that to essentially change the uncertainty factor in this
19 instance, we would need to have an idea of how -- what
20 order of magnitude change we'd want to do. If we raise it
21 from one to two, what would be our justification
22 quantitatively for doing that?

23 PANEL MEMBER BLANC: Well, I think you -- first
24 of all, maybe you want take a Bayesian approach to the
25 data that you do have. And if there were not four

1 animals, but there were 40 animals, would the difference
2 you're seeing be statistically significant, if that's your
3 argument.

4 But as Karen said, I think you need to look what
5 is the difference? For example, if the difference is
6 negative, if the -- you know, if the endpoints that you
7 use in the higher dose -- in the lower dose, in fact, were
8 lower than the control animals, then it's not -- it's much
9 less an issue of, you know, okay, maybe it is by chance,
10 but it's not blatantly. Yeah, there's the difference --
11 there's an effect here. It's just with four animals you
12 could never show it statistically of an effect that would
13 be consistent with the slope of a linear line going to
14 zero from 15, right? Because you're arguing it's no
15 effect. It's a no effect level and your argument is
16 because there's not a statistical difference in pairwise
17 comparisons between these outcomes, like the alkaline
18 phosphatase, I suppose.

19 DR. SILVA: Just for kind of as a clarifying
20 point from -- for me, would it matter whether we also saw
21 tissue damage?

22 PANEL MEMBER MESSER: Yes.

23 DR. SILVA: This is something -- you know, I
24 mean, if we have no statistical differences in our
25 measurable endpoints, our quantified endpoints, and also

1 no changes in the lung tissue upon histopathology, we
2 wouldn't necessarily be able to add an extra uncertainty
3 factor, correct?

4 PANEL MEMBER BLANC: No, you're not correct,
5 because one would expect that the enzymatic measurements
6 or the biochemical measurements might be the only thing
7 that you would see at the level. So you're arguing that
8 there's no effect. Certainly, the reverse is true.

9 DR. SILVA: Okay.

10 PANEL MEMBER BLANC: If you say tissue damage,
11 but no enzymatic damage --

12 DR. SILVA: Right.

13 PANEL MEMBER BLANC: -- that was statistically
14 significant, you had a qualitative measure that's
15 suggested something was going on, then that adds more fuel
16 to the fire.

17 DR. SILVA: Okay.

18 PANEL MEMBER BLANC: But the reverse, I would not
19 say is true.

20 DR. SILVA: Okay. Right. Because the enzyme
21 levels are the more sensitive endpoint. Okay.

22 PANEL MEMBER BLANC: Yeah.

23 CHAIRPERSON ANASTASIO: Karen, did you want to
24 follow up?

25 PANEL MEMBER MESSER: Yeah. I guess, maybe I'm

1 not quite following that last discussion. So you have
2 several endpoints. You have enzymatic endpoints and then
3 you have this alveolar damage. Is that a histopathology
4 endpoint? I don't quite remember clearly.

5 DR. SILVA: Yes, that's correct.

6 PANEL MEMBER MESSER: Okay. And in the -- and
7 you're seeing no effect across these multiple endpoints,
8 is that -- is that correct, no statistically significant
9 effect?

10 DR. SILVA: So the histopathology is not usually
11 quantified. It's just a visual examination of changes in
12 the tissue relative to the control.

13 You know, sometimes maybe they'll just see
14 accumulations of chromium, sometimes they'll see
15 inflammatory cells in the area, and sometimes in really
16 bad cases - this is not necessarily for chromium - you
17 might see sloughing of tissues maybe in the bronchiolar --
18 the bronchioles.

19 PANEL MEMBER MESSER: And you're saying --

20 DR. SILVA: So, yeah, those are not usually
21 quantified, or they can be, not often quantified.

22 PANEL MEMBER MESSER: Okay. And so you're seeing
23 nothing on the histopathology, but that's a subjective
24 measure. And then across the quantitative measures, you
25 have several of those and you're not seeing anything

1 that's significant across several measures, is that right?

2 DR. SILVA: I believe that's the case. I can
3 look up the table now to be sure. And also, I can also
4 later on look at the paper to see if I can glean anymore
5 from the details. But I just wanted to clarify that for
6 myself, whether adding additional uncertainty factors
7 would still be possible, if I didn't see any changes in
8 the histopathology. But that makes sense what Dr. Blanc
9 was saying that the enzymatic changes -- the changes in
10 the bronchial, alveolar, lavage also are sometimes seen
11 before you actually have tissue damage. So potentially,
12 you know, I think we could add an uncertainty factor.

13 PANEL MEMBER BLANC: Well, there's two ways --
14 there's two ways you'd have to handle -- you have two
15 choices --

16 PANEL MEMBER MESSER: But if I could just --

17 PANEL MEMBER BLANC: Oh, yeah. Sorry.

18 PANEL MEMBER MESSER: Sorry. If I could just
19 finish that train of thought. I do think it's an
20 accumulating level of evidence however, if you have
21 multiple quantitative measures, none of which show a
22 significant effect, and also, you can see that none of
23 them -- I think there possibly should be an informal power
24 analysis done, you know. With four animals across those
25 measures, do you expect to see a signal if there really is

1 one? That's where you might sharpen your argument for
2 the --

3 DR. SILVA: Okay.

4 PANEL MEMBER MESSER: -- (inaudible) the
5 evidence. And also to look at the magnitude of the
6 changes. If the magnitude of the changes is small across
7 all of those measures, then I think even though we might
8 not formalize it with this sort of Bayesian argument, I
9 think the weight of the evidence accumulates that it's a
10 confident no adverse effect level. So it's part of a
11 whole -- incorporating all those measures together might
12 strengthen the argument or conversely let you know that
13 maybe it's less certain than you think.

14 DR. SILVA: Okay.

15 PANEL MEMBER BLANC: And just to build on that,
16 I'd say you have two approaches. One is to stick with
17 this as a no effect level. I think no matter what the
18 endpoint of your decision about that is, I think you
19 should strongly consider a little bit more uncertainty.
20 But if you believe it's not a no effect level, then you're
21 going to have to treat it as a low effect level and do
22 your calculations on that basis.

23 DR. SILVA: Correct.

24 PANEL MEMBER BLANC: So if you have enough
25 uncertainty to reject calling it a no effect level, then

1 it's a low effect level and you have to proceed
2 accordingly, because I actually don't remember a recent
3 example where we had, you know, this few test animals per
4 group. And I think the reason obviously is that you have
5 so little data altogether for your -- you know, to make
6 your conclusions, it's not your fault. It's just that the
7 literature has, you know, kind of let you down on this
8 one.

9 I see Mike has a question, as I have one last
10 comment about the other -- the other calculations.

11 PANEL MEMBER KLEINMAN: Yeah. I just wanted to
12 point out that in terms of, you know, are the numbers
13 relatively consistent when you look across the RELs that
14 are calculated? So we've got the acute 1-hour REL is
15 about 48 micrograms -- pico -- nano -- 480 nanograms, 0.48
16 micrograms per cubic meter. You look at the 8-hour, it's
17 0.12 micrograms per cubic meter. And if you use the
18 dose -- you know, the time concentration profile and back
19 calculate from eight hours to one, you'd end up with a
20 0.96, and then add in an uncertainty for that.

21 So the number we're getting where one approach
22 was a benchmark dose approach and the other is -- or at
23 least I think the eight hour is benchmark.

24 PANEL MEMBER BLANC: Yes, I think it was.

25 DR. SILVA: That's correct.

1 DR. BUDROE: That's correct.

2 PANEL MEMBER KLEINMAN: And then the other where
3 you use the -- just the NOEL use that as a departure
4 point, you know, the fact that they're that close, it kind
5 of gives me confidence that we're in a, you know, pretty
6 good ballpark for this.

7 And the other point I wanted to make, I looked up
8 some ambient air data. And when you look at ambient --
9 and this was, I guess, a study done using ARB analytical
10 methods, and they found that it looks like, you know, on
11 the average, more than 90 percent of the ambient chromium
12 is chromium(III), where they looked at total chromium and
13 chromium(VI) and subtracted to get the chromium(III)
14 amount.

15 So, you know, for all practical purposes, you
16 know, if we were to -- you know, I think the numbers that
17 have been derived are in a relatively good ballpark, but,
18 you know, we did have in, I think, at least in one of the
19 other RELs we looked at, we also put in a -- an additional
20 uncertainty for the quality of the database. And I think
21 having these small numbers of animals would certainly
22 qualify as, you know, an additional level of uncertainty
23 that we might want to consider.

24 DR. BUDROE: Okay.

25 DR. SILVA: Are you suggesting, Dr. Kleinman, to

1 go that route irrespective of whether our -- the suggested
2 power analysis and/or Bayesian analysis suggests that we
3 should do that or are you just saying, you know, based
4 upon historical REL documents, we sort of have this set
5 precedence where we use this additional uncertainty factor
6 because there's a smaller number of animals?

7 PANEL MEMBER KLEINMAN: I would not say don't do
8 the more rigorous power calculation or Bayesian approach
9 and that may give you, you know, much more justifiable
10 numbers.

11 DR. SILVA: Okay.

12 PANEL MEMBER KLEINMAN: I'm just suggesting as an
13 alternative that there is also this other route that one
14 could take.

15 DR. SILVA: Oh. Okay. Thank you. That's
16 helpful.

17 PANEL MEMBER BLANC: I'll yield my final comments
18 to hear first from Beate.

19 PANEL MEMBER RITZ: I just have one comment
20 about -- because Kathy mentioned the ambient levels. And
21 we currently have a paper in review that should come out
22 really soon where we did a whole monitoring campaign
23 across LA in two seasons with 20 Harvard impactors and
24 chromium is one of the elements that was measured. So you
25 can actually get that paper and cite it and it will give

1 your urban background, as well as roadside measures for
2 chromium or for particulate matter 2.5, et cetera.

3 I can give you --

4 DR. SILVA: Thank you.

5 PANEL MEMBER RITZ: I can give you the citation.

6 DR. SILVA: Okay. Thank you.

7 CHAIRPERSON ANASTASIO: Thank you, Beate.

8 PANEL MEMBER BLANC: So --

9 CHAIRPERSON ANASTASIO: Paul, did you have
10 additional comments?

11 PANEL MEMBER BLANC: Yeah, I have a final comment
12 on the process on the other RELs.

13 Table 18, for example, has a column with Akaike
14 information criterion values. But I don't believe you
15 explicitly alluded to those in your -- as support of your
16 model choice or did I miss that text?

17 CHAIRPERSON ANASTASIO: Paul, which page are you
18 on?

19 PANEL MEMBER BLANC: It's page 94 for example.

20 DR. SILVA: Right. So if you're looking at the
21 hard copy, it's page 94. If you're in adobe, it's page
22 105.

23 I see what you're talking about and I thought
24 that I talked about -- okay. So the AIC value was
25 something that I talked about in a footnote on page 93,

1 the page right before the table.

2 PANEL MEMBER BLANC: Well, I guess it's not -- a
3 footnote isn't on my -- on my hard copy.

4 DR. SILVA: Oh. Oh, interesting.

5 PANEL MEMBER BLANC: -- or maybe it's just how it
6 printed out.

7 DR. SILVA: Okay.

8 PANEL MEMBER BLANC: But there's a lot of
9 footnotes to the table.

10 DR. SILVA: Right. Yeah. So this is a footnote
11 to the text on page 93 or page 104, if you're in the -- in
12 the adobe version.

13 PANEL MEMBER BLANC: Oh, wait. I see it. I'm
14 sorry. I'm sorry. I see it, yeah.

15 DR. SILVA: So it's possible that the AIC
16 actually didn't play a role in my choosing of that model,
17 because it's only usable when you're talking about data
18 from the same data set.

19 PANEL MEMBER BLANC: But these aren't from the --
20 aren't these four models you ran on the same data set?

21 DR. SILVA: No. So one is, you know, females for
22 one day, females for 13 weeks post-exposure, it's --
23 they're all -- all of the four --

24 PANEL MEMBER BLANC: Oh, I see. I see. Yes,
25 yes, yes, yes. And the model that you chose in the end

1 was, remind us again which row?

2 DR. SILVA: The first row.

3 PANEL MEMBER BLANC: The first row, which even
4 though you can't use the AIC by far has the lowest AIC.

5 DR. SILVA: Right. Right.

6 PANEL MEMBER BLANC: Well, I guess that makes
7 me -- okay. I understand better now. I guess my question
8 would be if you can't use the AIC, why are you showing it
9 or if you aren't in the -- or if some people wouldn't
10 recommend using it, but you have it, you know, is it
11 worthy of comment, again with a bit of a caveat, even
12 though strictly speaking we wouldn't use this when we're
13 not comparing models with exactly the same data set.
14 Clearly, the AIC is much lower for this model as well,
15 lending further support, whatever the caveat is, because
16 it's rather -- you can see why I'm confused.

17 DR. SILVA: Yes. And I can either -- I did
18 include it, because it's something that we normally
19 include in our summary tables for the BMD analysis, but I
20 understand what you're saying about it being confusing.
21 And I don't have a problem taking it out or adding
22 clarification in the text, either way.

23 PANEL MEMBER BLANC: I would add clarification in
24 the text. I'd say --

25 DR. SILVA: Okay.

1 PANEL MEMBER BLANC: -- you know, strictly
2 speaking, it -- it shouldn't form the basis of choosing
3 one over the other, because these aren't exactly the
4 same -- exact same data sets --

5 DR. SILVA: Um-hmm.

6 PANEL MEMBER BLANC: -- but it certainly is
7 consistent with a better model.

8 DR. SILVA: Okay.

9 PANEL MEMBER BLANC: Something like that.

10 DR. SILVA: Okay. Yeah, I can do that.

11 PANEL MEMBER BLANC: Because the numbers are the
12 same. I mean, they're close enough that it must have some
13 relevance.

14 And just to circle back to our discussion on the
15 other -- the other data set that you were forced to use
16 with the four animals in each of the groups. Using the
17 benchmark calculation does take into account to an extent
18 the size of the study groups, right? I mean, that --
19 that's where the confidence bounds are driven in part by
20 that.

21 DR. BUDROE: Right. I believe that that's it.

22 PANEL MEMBER BLANC: So just to reassure
23 ourselves. And I realize why the other study with the
24 just two levels you can't do a benchmark on that one, I'm
25 assuming. Well, three levels you have zero. But in any

1 event, just to put it in context.

2 That's it. Those are my -- that's my shtick.

3 CHAIRPERSON BLANC: Okay. Thank you, Paul.

4 DR. SILVA: Thank you.

5 CHAIRPERSON ANASTASIO: I open it up to other
6 Panel members now, other comments, items that have not
7 been discussed?

8 All right. Let's see, we've heard from Joe,
9 heard from Paul. Lisa, anything from your end?

10 PANEL MEMBER MILLER: Well, I had the same
11 concerns that Paul had, in terms of the sensitization
12 issues. And I don't want to, you know, beat a dead horse
13 here, but certainly I have a lot of concerns about the use
14 of the single study to establish the acute RELs. And I --
15 and again, I understand this is what you have to work
16 with, right?

17 As an immunologist, when I see words like
18 "phagocytosis" and "sensitization", the implication is
19 reprogramming and you -- so these sorts of studies,
20 particularly studies that were done in the 70s and the
21 60s, and not that they're not relevant and helpful, it's
22 just that they're not designed to detect -- they don't
23 have the methodologies to detect this sort of response.
24 That's a sensitization response, which would be an adverse
25 effect. So I think that's an important caveat to

1 emphasize as you establish these -- as you establish an
2 acute REL. And it goes back to setting up these
3 uncertainty factors.

4 One quick comment that would be helpful for me
5 and perhaps the readers also going back to the
6 cross-reactivity studies in guinea pigs. I wasn't able to
7 look this up -- study up, but this in my read of it, it
8 looks like the challenge studies were done using a dermal
9 challenge as opposed to an inhalation challenge, is that
10 correct?

11 DR. SILVA: Correct.

12 PANEL MEMBER MILLER: Okay. Because I think that
13 would be helpful to clarify, because in my first read, I
14 had assumed it was inhalation. But, in fact, as I read
15 through it again, it -- I thought that it was dermal. So
16 I think that's an important clarification that should be
17 emphasized, because that's -- you're talking about --

18 DR. SILVA: Okay. I can do that up front and at
19 the table, again, yeah. Thank you.

20 PANEL MEMBER MILLER: Great. Thank you.

21 So that's all I have.

22 CHAIRPERSON ANASTASIO: Thank you, Lisa.

23 Mike.

24 PANEL MEMBER KLEINMAN: Yeah. This is quite
25 minor, but just for consistency, you go back and forth in

1 the write-up and the discussion between using the square
2 root of 10 or the number 3, which are essentially
3 equivalent. I think to avoid confusion, it might be
4 easier to just pick one and use that throughout the
5 document.

6 DR. SILVA: Yeah. I was thinking about that as I
7 was doing my presentation today and saying 3 and looking
8 at the root 10, so I'll do that. Thank you.

9 PANEL MEMBER KLEINMAN: Okay. The other comment
10 I wanted to make is that on your slide 5, where you talk
11 about particles depositing in the tracheobronchial airways
12 and then dissolving and going into the bloodstream, it
13 would be worthwhile mentioning that most of the stuff on
14 the tracheobronchial airway will clear very rapidly
15 through mucociliary clearance and then enter through the
16 GI tract.

17 DR. SILVA: Okay. Yeah, I do talk about that in
18 the paper, but I'll make that more clear --

19 PANEL MEMBER KLEINMAN: Great.

20 DR. SILVA: -- about the proportion. Yeah,
21 that's important. I agree.

22 CHAIRPERSON ANASTASIO: Okay. Thank you, Mike.

23 PANEL MEMBER KLEINMAN: Thank you.

24 CHAIRPERSON ANASTASIO: Kathy.

25 PANEL MEMBER HAMMOND: Sure. These are just some

1 small comments, about I think they -- they're important to
2 get.

3 CHAIRPERSON ANASTASIO: Sorry, Kathy, can you
4 move your mic, so we can hear you better.

5 PANEL MEMBER HAMMOND: My apologies. Sorry.

6 Okay. On just a few -- a few points, but I think
7 I'd just like to get these correct. On page 14, line 424,
8 you talked about the workers wearing masks. And I would
9 like to clarify whether that's masks or respirators. My
10 guess is that that's respirators. And those are distinct
11 and certainly these days we're making those distinctions.

12 DR. SILVA: I'm sorry. Can you clarify, is it
13 page 14 on the hard copy?

14 PANEL MEMBER HAMMOND: Yes. I'm holding the hard
15 copy. Sorry.

16 DR. SILVA: Oh, okay. Okay.

17 PANEL MEMBER HAMMOND: I'm sorry.

18 DR. SILVA: No, no. That's okay. I know you
19 said the line number --

20 PANEL MEMBER HAMMOND: Sure.

21 DR. SILVA: -- but I stuck in my head page 14,
22 so --

23 (Laughter.)

24 PANEL MEMBER HAMMOND: That's okay. No, no, no.
25 My apologies.

1 Yeah.

2 DR. SILVA: I think -- so that terminology was
3 something I used from the -- from the paper masks, so I
4 don't know what they were actually.

5 PANEL MEMBER HAMMOND: Okay. I think it -- check
6 it -- check it carefully to see if it could be
7 respirators, because it's most --

8 DR. SILVA: Okay.

9 PANEL MEMBER HAMMOND: -- likely respirators.

10 DR. SILVA: Okay.

11 PANEL MEMBER HAMMOND: Usually, they don't use
12 masks in a workplace, other than medical workplace.

13 On the same page, line 437, you say about 30
14 percent of dust particles were less than 5 micrometers in
15 diameter. I think you don't mean 30 percent of the
16 particles, but 30 percent of the mass. I haven't really
17 checked, but that's an important distinction, whether
18 it's --

19 DR. SILVA: I agree. I can -- I can clarify.
20 I'll look at the text and clarify that.

21 PANEL MEMBER HAMMOND: Okay.

22 Then on page 15, lines 462, I don't understand
23 what that means, "a monitor with a less than four
24 millimeter size restriction". What monitor would that be?

25 DR. SILVA: So a sampler, I guess.

1 PANEL MEMBER HAMMOND: I think that this is
2 incorrect. Okay.

3 DR. SILVA: Okay.

4 PANEL MEMBER HAMMOND: I'm almost certain it's
5 incorrect. And there may be a size selective sampler
6 ahead of it, and if so, it would not be that size.

7 DR. SILVA: Okay. I'll clarify the --

8 PANEL MEMBER HAMMOND: The size is wrong.

9 DR. SILVA: I'll look at it to make sure.

10 PANEL MEMBER HAMMOND: Right. Yeah. Yeah,
11 because that should -- that should be in there correct. I
12 didn't go back to the paper.

13 Then on page 16, lines 480 and 481, you say that
14 respirable particles are particulate matter less than 10
15 micrometers in aerodynamic diameter that's PM10, that's
16 incorrect. Respirable particles could be defined as PM4.
17 Their 50 percent penetration is 4 micrometers, not 10.

18 DR. SILVA: Okay.

19 PANEL MEMBER HAMMOND: Ten would be what's called
20 the thoracic dose.

21 DR. SILVA: Okay.

22 PANEL MEMBER HAMMOND: Oh, and then just as a
23 small thing, but, you know, I think it's important. As
24 examples, lines 539 and 541, but I request you actually do
25 a search and replace, we're doing a scientific document.

1 And I don't think we should say, "OEHHA believes". We
2 should just state this. Beliefs are not -- this is a
3 scientific document. We're not talking belief systems.

4 DR. SILVA: Okay. So that was terminology that I
5 used because we weren't sure exactly what they are --

6 PANEL MEMBER HAMMOND: I think that you should
7 say --

8 DR. SILVA: -- based on the reporting.

9 PANEL MEMBER HAMMOND: I think you should say,
10 "you think".

11 DR. SILVA: Oh, okay. Sure, I can use that
12 instead.

13 PANEL MEMBER HAMMOND: Okay?

14 DR. SILVA: Yes. Thank you.

15 PANEL MEMBER HAMMOND: And just as an -- first of
16 all, I did want to also note that for the very first time
17 that I've been on this committee, I mean, I was the only
18 woman for a long time and then was joined by -- you know,
19 finally had two, and now we're almost half women. This is
20 fabulous, but -- so this is great. But one small thing is
21 that women tend to use the word "believe" when we should
22 say "think" too often. And so I just want to encourage
23 you to start --

24 DR. SILVA: I take your point.

25 PANEL MEMBER HAMMOND: Yep.

1 (Laughter.)

2 DR. SILVA: Thank you.

3 PANEL MEMBER HAMMOND: We just -- I do too and we
4 just have to work on that, because we're --

5 PANEL MEMBER RITZ: Yeah. Wouldn't it even --

6 PANEL MEMBER HAMMOND: -- denigrating ourselves.
7 Okay. Thank you.

8 PANEL MEMBER RITZ: Wouldn't it even be better to
9 say "assume".

10 PANEL MEMBER HAMMOND: Yeah, that might be a
11 better one, yes.

12 DR. SILVA: Okay.

13 PANEL MEMBER HAMMOND: I agree.

14 DR. SILVA: Thank you.

15 PANEL MEMBER HAMMOND: So thank you. And again,
16 I encourage you to get more of the environmental --
17 there's a lot of environmental data out there and it
18 should be included. Yeah.

19 DR. SILVA: Thank you.

20 CHAIRPERSON ANASTASIO: All right. Thank you,
21 Kathy.

22 Karen.

23 PANEL MEMBER MESSER: Yeah, I just wanted to
24 remark, I looked up the Derelanko paper. There's a nice
25 data table there. So there's means and standard

1 deviations of the -- of the assays at the given doses. So
2 there's a -- there will be a lot of information that could
3 be included.

4 CHAIRPERSON ANASTASIO: Thank you, Karen.

5 Paul.

6 CHAIRPERSON ANASTASIO: Paul, you just muted
7 yourself.

8 PANEL MEMBER BLANC: Karen, since you just looked
9 at the paper, aside from the means and standard
10 deviations, were there differences -- in other words, even
11 if they weren't statistically significant was in general
12 the measured outcomes higher in the -- compared to the
13 reference -- or the controls?

14 PANEL MEMBER MESSER: It looks like there are
15 differences. You know, this is by eyeball.

16 PANEL MEMBER BLANC: Yeah. Okay. Just curious.

17 PANEL MEMBER MESSER: But yes, there are
18 differences. They are more modest than at higher doses.
19 So it looks like there's a clear dose response
20 relationship --

21 PANEL MEMBER BLANC: Yeah.

22 PANEL MEMBER MESSER: -- but we're already
23 above --

24 PANEL MEMBER BLANC: Yeah.

25 PANEL MEMBER MESSER: -- consistently across

1 assays --

2 PANEL MEMBER BLANC: Okay.

3 PANEL MEMBER MESSER: -- above the controls.

4 That's a quick look by eyeball.

5 PANEL MEMBER BLANC: Okay. Thanks.

6 PANEL MEMBER HAMMOND: But means it's a LOEL not
7 a NOEL, right?

8 PANEL MEMBER BLANC: That might be the most
9 conservative way of handling this problem.

10 PANEL MEMBER MESSER: Yeah. And again, I
11 would -- I would ask that the agency go back and review
12 that carefully and not take my word for it.

13 PANEL MEMBER BLANC: Yeah. Yeah. Yeah, yeah.
14 And then in response to what Lisa had said, I would also
15 say that because -- there actually is a robust literature
16 on chromium(VI) allergy with positive inhalation
17 challenges. The problem is that none of those studies
18 ever did an inhalation challenge separately with trivalent
19 chromium, probably because the options for soluble
20 trivalent chromium are limited. That might be one of the
21 reasons, but I -- I would say that if you still have the
22 energy left, a single sentence that said that and gave
23 those -- the three most recent papers with positive
24 inhalation challenge would be useful to have. It sort of
25 tells the reader, yeah, we're aware of this very

1 convincing literature. It's just that we can't do
2 anything with -- else with what we've got, because nobody
3 bothered to do those inhalation challenges with trivalent
4 chromium.

5 DR. SILVA: Okay.

6 PANEL MEMBER BLANC: And that wouldn't take up a
7 lot of space and it would just sort of underscore the
8 thoroughness of the review of the literature. And then
9 one final methodologic question I have for you and for
10 OEHHA generally, obviously you -- you're very aggressive
11 in using PubMed, and keyword searches, and probably -- and
12 I assume going to TOXNET and some of the other databases.

13 But when you're in this -- sort of the final
14 throes of this and you've got only one study to choose
15 from for an acute REL and one study to choose from for the
16 8-hour and chronic REL, do you actually use a search
17 engine that makes sure that you found anyone who's ever
18 cited either of those two papers and why they were citing
19 it?

20 DR. SILVA: I have not looked at the people that
21 were citing or the numbers of citations the studies have
22 received. But during my literature search, I am using
23 multiple different databases --

24 PANEL MEMBER BLANC: Yeah.

25 DR. SILVA: -- so I have access to whatever is

1 available through UC Davis because I still work with UC
2 Davis. All of, you know, Web of Science, all of the
3 things that students would be -- all of the databases that
4 students would be -- would have access to, their library,
5 in addition to everything that OEHHA has. So I've pooled
6 all of those resources in my searches, but I did -- that's
7 not something that I looked at in terms of, you know, the
8 citations like you're talking about.

9 PANEL MEMBER BLANC: Well, I wouldn't -- I mean,
10 you'd drive yourself crazy if you did that too much, but
11 one thing I would suggest as a -- as a double or triple
12 check is on these two critical papers, which are driving
13 so much of what you're doing, I would just use the
14 citation function in Web of Science to make sure that you
15 haven't missed a paper that has cited either of these,
16 because they've also done something along the same lines.

17 DR. SILVA: That's a good idea. I'll do that.

18 CHAIRPERSON ANASTASIO: Yeah. Thank you, Paul.
19 Good comment.

20 Any other comments from the Panel?

21 So my suggestion is often if -- you know, I think
22 the modifications that OEHHA has to make to the document
23 are generally minor, except for this question of the
24 statistics, and the small animal number, and the
25 possibility of increasing the uncertainty factor.

1 So my suggestion is that when we have a revised
2 document, OEHHA sends it to Paul, and Karen, and me. And
3 then Paul and Karen, maybe you could check the statistical
4 issue. And then if you think that's okay, I can check the
5 rest of the document for the other comments that people
6 made today.

7 Does that sound acceptable to the Panel? People
8 like that?

9 PANEL MEMBER HAMMOND: I have a comment on that,
10 okay.

11 CHAIRPERSON ANASTASIO: Okay.

12 PANEL MEMBER HAMMOND: My only concern is that we
13 should be looking at the ambient levels to compare what
14 these proposals are to the ambient levels before we go
15 forward.

16 CHAIRPERSON ANASTASIO: Yeah, I think there -- I
17 believe Rona got that comment and she's going to add
18 ambient concentrations.

19 PANEL MEMBER HAMMOND: But I think it's a
20 critical piece of that. I mean, because if the ambient
21 level are far above the suggestions, they just need to
22 think about that. That's all.

23 CHAIRPERSON ANASTASIO: So I'm not quite sure
24 what you're asking, Kathy. Are you saying you'd like to
25 check the document to make sure ambient levels are in

1 there before we approve it?

2 PANEL MEMBER HAMMOND: Yeah. Yes.

3 CHAIRPERSON ANASTASIO: Okay. So I've got Kathy
4 checking ambient levels and Karen and Paul checking the
5 statistics. Okay.

6 PANEL MEMBER MESSER: Could I just venture a
7 clarifying suggestion and Paul please comment on it. I
8 just think it doesn't necessarily require a huge
9 statistical project or a sophisticated statistical
10 approach to answer our questions about the un -- the
11 uncertainty of the small sample sizes, especially given
12 that the means and standard deviations are there. Is that
13 your feeling, Paul?

14 You're muted.

15 PANEL MEMBER BLANC: I think what Cort was
16 referring to is when we have a major change to a document,
17 typically there's a second look at it with that major
18 change. So if the level goes down by a considerable
19 factor, if they have to go with that value being a low
20 effect level, so the Panel, or representatives of the
21 Panel, should look at it. Then what Kathy is saying, and,
22 Kathy, I hope I'm not putting words in your mouth, but
23 what I hear her saying is not that she doesn't believe
24 they'll put ambient data in the document. But if that
25 ambient data shows that the current ambient levels would

1 be triggering the REL every day of the week, then that
2 raises an issue that the Panel might want to consider,
3 because they'll have to address that issue. Not they'll
4 have to water down the REL, but they'll have to come up
5 with language which says that we need additional speciated
6 data, and, you know, whatever -- there -- you know, I
7 think we faced this before with -- I forget if it was the
8 value on acrolein or something, you know, where the level
9 came out to be very close to what frequent ambient values
10 are, but I -- Kathy, isn't that what you were kind of
11 getting at?

12 PANEL MEMBER HAMMOND: Yes. And actually since
13 you're a lead reviewer, I'd be happy to defer to you, you
14 know, to look at those values, just to make it easier
15 for -- but, yes, I agree. I agree. Yeah.

16 PANEL MEMBER BLANC: And I actually would --

17 PANEL MEMBER HAMMOND: I mean, it's not to water
18 them down, but rather --

19 PANEL MEMBER BLANC: No, I know.

20 PANEL MEMBER HAMMOND: -- it's like we need to
21 not put out a document where nobody says hey, hey, hey,
22 you know, we're at ten times the levels or something.

23 PANEL MEMBER BLANC: Right. Well, I think that
24 would also -- I would rely on the judgment of the Chair in
25 terms of whether the --

1 PANEL MEMBER HAMMOND: Um-hmm.

2 PANEL MEMBER BLANC: -- the whole group needs to
3 then --

4 PANEL MEMBER HAMMOND: Right.

5 PANEL MEMBER BLANC: -- have a follow-up
6 discussion in our October meeting with this. And -- oh,
7 that reminds me of one other thing I meant to say, which
8 is I do think, Rona, there should be a sentence there
9 which says even though this document only looked at the
10 literature systematically through August of 2020, we
11 did do a follow-up review just to make sure there we're no
12 major papers since that time through, you know, April of
13 2021. Because now, we're nine months out, and so it
14 looks -- you know, it looks a little odd. You don't have
15 to say we went back to ground zero and did everything
16 over, but we -- you know, we've --

17 DR. SILVA: Yeah.

18 PANEL MEMBER BLANC: -- reassured ourselves that
19 there's nothing in the interim. One sentence like that.

20 DR. SILVA: Yeah, we can do that and we actually
21 have done that, so --

22 (Laughter.)

23 PANEL MEMBER BLANC: Why not take credit for it.

24 DR. SILVA: Yeah. Yeah. Right, yes.

25 CHAIRPERSON ANASTASIO: Okay. So OEHHA -- oh,

1 sorry. Karen, go ahead.

2 PANEL MEMBER MESSER: Yeah, sorry to keep harping
3 on this. So, thank you, Paul. I'm totally happen to
4 review the document. I guess my comment was more I didn't
5 want these comments to necessarily be interpreted that
6 we're requiring a huge statistical modeling effort, if
7 that's not required, to make the case. That was -- that
8 was my comment. It's just we need a better look at this
9 issue. And if the case can be made without a huge
10 sophisticated statistical modeling effort, that's fine.

11 PANEL MEMBER BLANC: Yeah. I don't disagree with
12 that. I do think that -- I think this will resonate with
13 John, which is to whatever extent they can come up with a
14 way of doing this in the systematic fashion, which they
15 can then use the next time around, it will probably be
16 something to have in their back pocket, right, John?

17 DR. BUDROE: That's what we'll try to do.

18 PANEL MEMBER MESSER: I agree. I agree.

19 CHAIRPERSON ANASTASIO: Thank you, Karen.

20 Beate, did you have a question or comment?

21 PANEL MEMBER RITZ: Yeah, just a comment. I
22 looked at the table in our measurement and it looks darn
23 close to your levels, if I interpret correctly, the
24 microgram per cubic meter, on the Harvard impactors. But,
25 of course, that was also chromium. So there's some, you

1 know, speculations probably to be made. But I think Kathy
2 is right on, we need to at least be aware of it.

3 CHAIRPERSON ANASTASIO: Okay. Yeah. So I'll --
4 I will look at that. And then if the ambient levels are
5 close to what the chronic REL ends up being, I'll contact
6 the panel and see if we want to do anything differently.

7 Any other comments?

8 Okay. Seeing none, we'll put chromium(III)
9 temporarily to rest. I'd like to thank Rona again for her
10 document and her presentation and thank all of OEHHA for
11 that input.

12 The last piece we have here is the catch-all
13 consideration of administrative matters. And the only
14 administrative matter we have is our next meeting. So,
15 Lori, sent this out already, but make sure that it's on
16 your calendar, please. Friday, October 15th, at 9:30 a.m.
17 We expect we're going to have a full agenda then, so we'll
18 go until 2:30-ish, so please block that out.

19 Any other administrative matters from anyone?

20 PANEL MEMBER BLANC: I mean, just an
21 administrative matter is we still don't know whether -- do
22 we still not know whether that meeting will be
23 face-to-face or is the decision that we will no longer
24 meet face-to-face no matter what happens?

25 CHAIRPERSON ANASTASIO: We've not --

1 PANEL MEMBER BLANC: And is there a date for when
2 that piece of it will be decided?

3 CHAIRPERSON ANASTASIO: We have made no decision
4 about moving to all remote meetings. But, Lori, any
5 thoughts about whether we'll be able to meet in person or
6 whether that will be remote?

7 PANEL LIAISON MIYASATO: I don't think we know
8 yet, but I reserved a hearing room just in case. But it
9 could go either way.

10 CHAIRPERSON ANASTASIO: I mean, I have to say
11 this remote meeting is very convenient. So we should talk
12 as a Panel. And I don't know if there are any
13 requirements on the Panel that we meet in person, but
14 maybe we move to a schedule where it's mostly remote or
15 part-time remote. We could think about what works best
16 for us.

17 And if -- you know, you certainly lose things,
18 you lose some things when you meet remotely, but perhaps
19 the incredible convenience is worth it. So that's
20 something I can talk about with CalEPA.

21 PANEL MEMBER BLANC: Yeah, I mean, I think that
22 legal should weigh in on that.

23 CHAIRPERSON ANASTASIO: Yeah.

24 PANEL MEMBER BLANC: There may be, in fact, a
25 legal requirement that doesn't matter what we would prefer

1 once it's -- once we're over the emergency, we would have
2 to meet in person.

3 CHAIRPERSON ANASTASIO: Yeah, right. That's
4 something we'll check.

5 PANEL MEMBER HAMMOND: And another possibility is
6 if it legal, following Paul's comment, we might still want
7 to have one meeting a year that's in person. I mean, I
8 think the -- there is the opportunity --

9 CHAIRPERSON ANASTASIO: Yeah, it's nice to see
10 each other and see the agency people definitely.

11 PANEL MEMBER HAMMOND: Exactly. I think to see
12 the agency people and for the public, if the public wants
13 to actually see us too. All of that.

14 CHAIRPERSON ANASTASIO: Yeah.

15 PANEL MEMBER HAMMOND: Maybe once, if it's not
16 required otherwise.

17 CHAIRPERSON ANASTASIO: Yeah.

18 PANEL MEMBER BLANC: Yeah. Actually, to follow
19 up on that on the legal aspect, we have had -- what --
20 when we've had meetings where some participants
21 participated remotely, those locations had to be public,
22 right? So when I would join --

23 CHAIRPERSON ANASTASIO: Right.

24 PANEL MEMBER BLANC: -- Stan at his office, it
25 was publicly announced where he and I were participating

1 from.

2 CHAIRPERSON ANASTASIO: Right. And the public
3 could join you in that location.

4 PANEL MEMBER BLANC: That's right. Yeah.

5 CHAIRPERSON ANASTASIO: So I hope you can put on
6 some coffee for them, Paul, when they come by your house.

7 (Laughter.)

8 PANEL MEMBER RITZ: So that means in October I
9 can only participate if it's remote, because I'm going to
10 be in Europe.

11 CHAIRPERSON ANASTASIO: Okay.

12 PANEL MEMBER RITZ: I can go to a library, but
13 nobody probably will fly over there.

14 PANEL MEMBER BLANC: No, I think Beate, you're
15 not correct. If it was in person and you could only
16 participate remotely, you can do that --

17 CHAIRPERSON ANASTASIO: Yes.

18 PANEL MEMBER BLANC: -- I mean, historically.
19 You'd have to say the library that you were doing it at.
20 And if somebody wanted to show up there, theoretically
21 they could, but otherwise, you can.

22 PANEL MEMBER KLEINMAN: But if we keep to a
23 hybrid model, where non-panel members can just join by
24 Zoom and the rest of us meet in person, we eliminate that
25 problem, even for people who are going to be coming in

1 remotely. I think the way we did it today is perfect.

2 PANEL MEMBER BLANC: No, I think --

3 CHAIRPERSON ANASTASIO: You just muted yourself,
4 Paul.

5 Paul, you were -- you started talking and then
6 you muted.

7 Okay. You muted again.

8 You're too fast on the trigger.

9 You're muted.

10 PANEL MEMBER BLANC: Okay. So now I'm not muted.

11 Mike, I think that's not true based on these --
12 this experience I'm saying that it was -- there was an
13 open meeting in person, but we -- I participated with Stan
14 from UCSF and I think we had to say where we were, even
15 though people could have gone to the meeting in
16 Sacramento, I think. That's my memory. And maybe I'm --

17 CHAIRPERSON ANASTASIO: Yeah, that's correct.
18 And, you know, even when we met in person, it's always
19 been broadcast over the web.

20 PANEL MEMBER BLANC: Yeah.

21 CHAIRPERSON ANASTASIO: And people can comment
22 over the web, so that's always been available to people.

23 So I'll talk with CalePA, see what the legal or
24 other requirements might be and then we can talk as a
25 Panel once we understand the parameters, and talk about

1 what we would prefer, if we have some options.

2 Yeah. Okay. Any other administrative matters?

3 Okay. Seeing none, could I get a motion to
4 adjourn?

5 PANEL MEMBER LANDOLPH: Hand raised.

6 PANEL MEMBER KLEINMAN: So moved.

7 CHAIRPERSON ANASTASIO: Okay. Can I get?

8 All in favor?

9 (Hands raised.)

10 CHAIRPERSON ANASTASIO: Ahmad, you want to keep
11 going?

12 Oh, there we go.

13 (Laughter.)

14 CHAIRPERSON ANASTASIO: All right. He can't Get
15 enough SRP. All right. Well, the meeting is therefore
16 adjourned. Thank you, everyone. Appreciate your time and
17 you intellectual prowess. And we will follow up on this
18 document in the way that we discussed.

19 All right. Have a great weekend.

20 (Byes.)

21 (Thank yous.)

22 (Thereupon the California Air Resources Board,
23 Scientific Review Panel adjourned at 12:40 p.m.)

24

25

