

MEETING
STATE OF CALIFORNIA
AIR RESOURCES BOARD
SCIENTIFIC REVIEW PANEL

HYATT REGENCY
SALON D
17900 JAMBOREE ROAD
IRVINE, CALIFORNIA

TUESDAY, DECEMBER 4, 2007

9:04 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

APPEARANCES

PANEL MEMBERS

Dr. John Froines, Chairperson

Dr. Paul Blanc

Dr. Craig Byus

Dr. Gary Friedman

Dr. Katharine Hammond

Dr. Joseph Landolph

Dr. Charles Plopper

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Jim Aguila, Manager, Substance Evaluation Section

Mr. Lyn Baker, Air Pollution Specialist

Mr. Jim Behrmann, Liaison, SRP

Ms. Janette Brooks, Chief, Air Quality Measures Branch

Ms. Susie Chung, Air Pollution Specialist

Mr. Peter Mathews

Dr. Brent K. Takemoto, Air Pollution Specialist

REPRESENTING THE DEPARTMENT OF PESTICIDE REGULATION:

Dr. Tobi L. Jones, Assistant Director

Dr. Joseph Frank, Senior Toxicologist

Dr. Marilyn H. Silva, Staff Toxicologist, Specialist

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

APPEARANCES CONTINUED

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD
ASSESSMENT

Dr. George Alexeeff, Deputy Director

Dr. Melanie Marty, Manager, Air Toxicology and
Epidemiology Section

Dr. Andrew Salmon, Chief, Air Toxicology and Risk
Assessment Section

Dr. Charles Vidair, Pesticide and Food Toxicology Section

Dr. Bruce Winder, Toxicology & Risk Assessment Section

ALSO PRESENT

Dr. Ed Matthews, U.S. Food and Drug Administration

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

INDEX

	PAGE
1. Continuation of the Panel's review of the draft report, "Endosulfan Risk Characterization Document"(revised November 2007)	1
2. Panel workshop on setting priorities in the toxic air contaminant identification program	120
Adjournment	220
Reporter's Certificate	221

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

1 PROCEEDINGS

2 CHAIRPERSON FROINES: Let's begin. Let's call
3 the December 4th, 2007, meeting to order.

4 And the first topic on the agenda is the
5 continuation of the Panel's review of the endosulfan
6 report.

7 So, Tobi, you're on.

8 DPR ASSISTANT DIRECTOR JONES: I just want to
9 make a couple of comments.

10 We provided the Panel copies of the revised risk
11 assessment. I want to apologize that the Executive
12 Summary paper copy that we provided to you does not
13 include all of the changes in the text itself. And Peter
14 is providing you a copy of that now. And I apologize for
15 that. I think if you'd gone into the electronic versions,
16 it represented more changes.

17 But I will point out that because we weren't able
18 to get feedback on elements of the exposure assessment by
19 the time we provided the copy, that we will need to make
20 some changes in the Executive Summary relative to the
21 exposure assessment.

22 Marilyn Silva is here and, as needed, can discuss
23 with you changes in the risk assessment itself. Joe Frank
24 is here representing Cheryl Beauvais, who was unable to
25 travel to the meeting today, to address changes or answer

1 any of your questions regarding the exposure assessment.

2 CHAIRPERSON FROINES: So if I understand what
3 you're saying, is that you're anticipating comments on
4 exposure assessment today from the Panel and that you'll
5 then use any of those comments, plus what you've already
6 done, to improve the Executive Summary?

7 DPR ASSISTANT DIRECTOR JONES: There are -- I
8 believe there are changes in the exposure assessment that
9 were made, and Joe can discuss those. We have not -- we
10 are interested in feedback from the Panel.

11 CHAIRPERSON FROINES: Great. That's fine.

12 Is that fine?

13 PANEL MEMBER FRIEDMAN: Can I ask a question?

14 CHAIRPERSON FROINES: Sure.

15 PANEL MEMBER FRIEDMAN: Who was the audience for
16 the Executive Summary?

17 DPR ASSISTANT DIRECTOR JONES: The audience for
18 the Executive Summary is the Panel. And it is part of our
19 rationale for proposing endosulfan as a toxic air
20 contaminant.

21 PANEL MEMBER FRIEDMAN: Because there are things
22 in here I -- vocabulary that I did not understand. And
23 I'm not sure if that's a problem or not. For example, I
24 didn't understand what chemigation was, rights-of-way
25 sprayer, dip treatment, things like that. And I'm not

1 sure if this is directed just toward people who use
2 pesticides. I'm sure they understand it fully. But I
3 don't -- I have no idea what that means. So --

4 DPR ASSISTANT DIRECTOR JONES: I understand.

5 PANEL MEMBER FRIEDMAN: So I mean if you -- I'm
6 not sure if this calls for a change in the Executive
7 Summary so you can explain it a little more. But I'd
8 appreciate it if that were the case.

9 DPR ASSISTANT DIRECTOR JONES: Okay.

10 CHAIRPERSON FROINES: That's good.

11 Yeah, an executive summary presumably will be
12 read by people who wouldn't read the entire document. So
13 it should be the most clearly written of all the sections,
14 in a sense. Not to say other sections shouldn't be
15 clearly written.

16 But the other thing is the Executive Summary can
17 serve a useful purpose for us in developing our findings.
18 So I think that our findings -- we'd want to have things
19 clear so that if we wanted to incorporate any of that from
20 the Executive Summary, that would be -- we don't want
21 things we don't understand.

22 You're face is blank.

23 PANEL MEMBER FRIEDMAN: What's the point you're
24 trying to make? I'm sorry.

25 CHAIRPERSON FROINES: Oh. I'm just saying

1 that -- I'm just agreeing with you, and that -- because I
2 think we may use parts of the Executive Summary for
3 writing the findings. And if there are comments in there
4 that we don't understand, then that shouldn't be the case.

5 PANEL MEMBER FRIEDMAN: Well, since you mentioned
6 our findings, I would also like to raise the question of,
7 should our findings be so detailed? It's got tables and a
8 lot of text with detailed information. Can we just come
9 to some conclusion that, you know, for these various
10 reasons this is a toxic air contaminant; you know, it
11 affects this and that and the level is below the margin of
12 error? You know, as someone said at breakfast, maybe it
13 should just be one page. And, you know, it's so detailed.
14 And I'm just wondering if that's appropriate for our --

15 CHAIRPERSON FROINES: No, I wasn't saying that we
16 were going to use the whole document or the OEHHA findings
17 in their total. All I was saying is if we take anything
18 out of it, we just want to make sure it's clear.

19 You're now raising a second question.

20 PANEL MEMBER FRIEDMAN: -- question, right.

21 CHAIRPERSON FROINES: And given our dinner last
22 night, I can say that you have been historically the
23 person who most -- have been most articulate about short
24 findings.

25 And so why don't we have a discussion about that

1 today for the whole panel, who may not have been at
2 breakfast this morning. And I don't think anybody -- I
3 actually think you won't find any disagreement with short
4 findings. But let's wait -- let's let DPR go ahead and --

5 PANEL MEMBER FRIEDMAN: Just to say, they used to
6 be short and now they're getting longer and longer.

7 CHAIRPERSON FROINES: Well, since this is our
8 hundredth meeting --

9 (Laughter.)

10 CHAIRPERSON FROINES: -- I can honestly say that
11 there's a certain sinusoidal quality to them. They go up
12 and down over the years. And usually it -- when it's
13 down, it's because you've said something. The history of
14 the length of the findings and Gary Friedman's comments on
15 this is -- there's a certain correlation that we could
16 make that would statistically significant.

17 Go ahead, Tobi.

18 DPR ASSISTANT DIRECTOR JONES: Well, I think I'd
19 like to turn it over to staff.

20 CHAIRPERSON FROINES: Great.

21 DPR ASSISTANT DIRECTOR JONES: Would you like the
22 exposure assessment discussed -- changes in the exposure
23 assessment discussed first?

24 CHAIRPERSON FROINES: Sure. Sure, you're call.

25 DPR ASSISTANT DIRECTOR JONES: Joe Frank will be

1 discussing that.

2 DPR SENIOR TOXICOLOGIST FRANK: Excuse me just a
3 moment. I'm loading the jump drive.

4 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH
5 MANAGER MARTY: I think this is one that's being used
6 right now.

7 CHAIRPERSON FROINES: While we're waiting, I
8 should tell you that we have to end today at 3:30. We
9 can't go longer. I have a class at 6 o'clock. And
10 Barbara Pitts yesterday said I'll never make it if we try
11 and leave at 4. It's on the risk assessment of
12 nanotechnology.

13 PANEL MEMBER BLANC: That should be a very short
14 lecture.

15 (Laughter.)

16 CHAIRPERSON FROINES: I'm trying to show them the
17 broad picture.

18 PANEL MEMBER BLANC: To paraphrase Churchill,
19 "Never has so much been said about so little."

20 (Laughter.)

21 DPR SENIOR TOXICOLOGIST FRANK: Okay, Dr.
22 Froines. I'm ready.

23 CHAIRPERSON FROINES: Thank you.

24 (Thereupon an overhead presentation was
25 Presented as follows.)

1 DPR SENIOR TOXICOLOGIST FRANK: My name is Joseph
2 Frank. I'm the Senior Toxicologist. I manage the
3 Exposure Assessment Program at Department of Pesticide
4 Regulation. And Dr. Cheryl Beauvais does work in my
5 group.

6 Cheryl, as you know, had some health issues and
7 was unable to travel, so she sent me as a substitute.

8 Basically we had three areas that seemed to be of
9 significant concern to the Panel that we did address in
10 the exposure assessment document itself. And as Tobi
11 indicated, the exposure assessment has been modified to
12 make those changes -- to include those changes, and we
13 would like your feedback.

14 The Executive Summary and the risk
15 characterization has not been modified as of yet. And we
16 will do that after we hear comments from the Panel.

17 --o0o--

18 DPR SENIOR TOXICOLOGIST FRANK: The three areas
19 that were of concern, our appreciation anyway of it, was
20 that endosulfan-related illnesses -- there are a number of
21 illnesses that were not clear to the Panel and so we made
22 an extra effort to go through and describe the illnesses
23 and exactly what each represented.

24 The next one was more of a significant issue, in
25 our opinion. And that was, there was a significant

1 concern by several Panel members of the study that we're
2 using for ambient air monitoring. We do think that we
3 addressed that in a sufficient way to satisfy the Panel,
4 and we would like your feedback. And I'll get to that in
5 just a moment.

6 And then the final issue was particulate matter
7 that Dr. Hammond brought up. And so we added additional
8 documentation and comments to the exposure assessment to
9 make sure that we're acknowledging that potential issue.

10 --o0o--

11 DPR SENIOR TOXICOLOGIST FRANK: Reported
12 illnesses is pretty much we went through and made sure
13 that we explained in more detail what case was, where case
14 is an individual episode where we have a number of
15 individuals involved. We also discussed such issues as
16 systemic illnesses, which as indicated on this slide
17 include such things as nausea, dizziness, and headaches
18 and numbness.

19 And endosulfan --

20 CHAIRPERSON FROINES: Would you go back to that.

21 DPR SENIOR TOXICOLOGIST FRANK: Certainly.

22 Yeah. So a case is a person whose health
23 problems may relate to pesticide exposure. An episode is
24 an event in which a single source appears to have been the
25 problem. And there may be one or more people or cases.

1 CHAIRPERSON FROINES: What do you mean by
2 "source"?

3 DPR SENIOR TOXICOLOGIST FRANK: An event is
4 essentially when we have a reported incident.

5 CHAIRPERSON FROINES: Okay.

6 --o0o--

7 DPR SENIOR TOXICOLOGIST FRANK: For endosulfan,
8 only cases -- there were seven.

9 Two were basically people complained primarily of
10 irritation. And as indicated, there is a greenhouse
11 applicator and a grape harvester.

12 Two complained of systemic symptoms.

13 And then there was three additional that
14 complained of both irritation and systemic.

15 --o0o--

16 DPR SENIOR TOXICOLOGIST FRANK: And this is all
17 documented in the exposure assessment in the latest
18 version.

19 --o0o--

20 DPR SENIOR TOXICOLOGIST FRANK: All cases,
21 there's -- Cheryl put together a summary table. So we
22 have endosulfan alone. And we've broken it down so we can
23 see which ones are endosulfan only, endosulfan with other
24 pesticides, and then total in which endosulfan was
25 involved.

1 --o0o--

2 DPR SENIOR TOXICOLOGIST FRANK: The issue that --

3 PANEL MEMBER HAMMOND: Excuse me. Would you
4 prefer if I interrupt you as we go along --

5 DPR SENIOR TOXICOLOGIST FRANK: Not at all.
6 Please --

7 PANEL MEMBER HAMMOND: -- or hold it till the
8 end? Which would you prefer?

9 DPR SENIOR TOXICOLOGIST FRANK: As I go would be
10 fine.

11 PANEL MEMBER HAMMOND: Okay. Since you have
12 three main areas and you're finishing illnesses, may I ask
13 some questions about illnesses then?

14 DPR SENIOR TOXICOLOGIST FRANK: Certainly,
15 absolutely.

16 PANEL MEMBER HAMMOND: Okay, great. Thank you.

17 So, first -- this is kind of a -- between your
18 document and OEHHA's findings there's a discrepancy in the
19 numbers. OEHHA says there were 63 cases and you say 58.
20 So just somehow that should get reconciled.

21 DPR SENIOR TOXICOLOGIST FRANK: Oh, certainly.
22 We'll --

23 PANEL MEMBER HAMMOND: And, you know, from the
24 same reporting system. And it's slightly different years,
25 but it still doesn't work out. It looks like 63 from one

1 fewer year. So I don't understand.

2 Okay. Then within -- OEHHA also pointed out it
3 was unclear how many of the reported incidents were
4 nonagricultural workers -- were non-occupational I guess
5 was the term used. There was one, as you said, in the
6 seven episodes which were endosulfan only. One of these
7 was a resident, was non-occupational. And for the others,
8 there's a discussion of the 30 cases that were reentry
9 before the reentry interval had passed. But then there's
10 actually not much discussion beyond that. And I think
11 that that -- it would be useful to know more about those
12 other cases.

13 DPR SENIOR TOXICOLOGIST FRANK: Certainly. Be
14 happy to.

15 PANEL MEMBER HAMMOND: And particularly since
16 OEHHA brought up this issue of, you know, how many might
17 have been bystanders, that that I think is a good question
18 since there's one of the seven. And I understand there
19 still is that lack of information.

20 DPR SENIOR TOXICOLOGIST FRANK: Sure. Be happy
21 to.

22 PANEL MEMBER LANDOLPH: Quick one while we're
23 here.

24 On a previous slide you had mentioned shortness
25 of breath. Is any of that permanent? Is there any

1 reactive airways dysfunction syndrome or any permanent
2 lung sequela that result from this exposure?

3 DPR SENIOR TOXICOLOGIST FRANK: To our knowledge
4 it was not. But often that kind of information is not
5 recorded. And so in many cases we wouldn't know.

6 PANEL MEMBER LANDOLPH: Thank you.

7 --o0o--

8 DPR SENIOR TOXICOLOGIST FRANK: The issue with
9 ambient air, as we're discussing the concerns of the
10 panel, one of the questions that came up was whether or
11 not we understood where the actual release was and how it
12 related to the monitoring itself: How close was it to the
13 monitoring site? And going through this, we realized that
14 by default the highest exposure for ambient air is going
15 to be bystander. Just as it is with acute, it would be
16 for seasonal as well.

17 So since Cheryl had calculated a three-day
18 exposure, which is basically greater than acute, if we
19 used that air concentration and we used the study for
20 bystander, by definition we are getting the highest
21 ambient air exposure, because we have people -- I mean we
22 have monitoring adjacent to a field at the time of
23 application. And so by definition, if you have a home or
24 you have individuals, children, adults, whatever, playing
25 adjacent to a field that has been treated, they would by

1 default get the highest exposure.

2 So what we've done is we've modified the exposure
3 assessment to use bystander for seasonal as well as acute.
4 And the study we used was a valid study. We did not have
5 problems with the controls. As you may remember the
6 problem with the study that we did have for ambient air,
7 there were a number of control issues.

8 So I think if you'll look in the revised version
9 of the exposure assessment, I think you'll be satisfied
10 that we do have the worst-case scenario for ambient air
11 and we have a study that is acceptable.

12 Yes.

13 PANEL MEMBER HAMMOND: First of all, I meant to
14 say earlier, I would like to apologize to the staff that I
15 didn't get my comments to you before this meeting. Just
16 personal things have just made it too difficult to get
17 that done earlier. So my apologies.

18 PANEL MEMBER FRIEDMAN: Can you speak into the
19 microphone.

20 PANEL MEMBER HAMMOND: Oh, I thought I was.
21 Sorry.

22 My apologies to the staff. You've done all this
23 hard work and I did not get my comments to you sooner
24 before the meeting, and I truly apologize for that.

25 Okay. So a couple of questions about -- the

1 ambient was based on August sampling in Fresno County.
2 And clearly June, July, and August were the highest use
3 months in Fresno. As it turned out -- and I think this
4 was not predictable when the sampling was done, so it's
5 not a criticism about the sampling -- turned out that
6 August was the lowest of those three months and was
7 approximately half of what it was in June.

8 So at the very least I think that there should be
9 a correction made for that, you know, that that's --
10 approximately a factor of 2 could have been higher in the
11 months when twice as much was used, if you're doing
12 ambient. At least there should be an acknowledgement of
13 that, that when you say it's the highest worst case, I
14 think we have to recognize that it probably misses a
15 little bit by that.

16 Then in terms of the bystander, I agree with what
17 you've done with that approach, you know, so it makes the
18 other part not so relevant just to say it. But using the
19 bystander does give you a worst-case situation.

20 On page 81 of the revised document, on the second
21 paragraph on the bystanders, there's a paragraph that
22 describes some of the issues around that. It says,
23 "Concentrations of endosulfan in air might be anticipated
24 to vary with different application methods and with
25 different types of crops." This makes sense. "Factors

1 affecting drift from spray applications include type of
2 crop, wind velocity and direction, volume and direction of
3 sprayer air jets and nozzles, and application rate.
4 Aerial and air blast applications typically result in a
5 greater spray drift than low pressure boom applications,
6 assuming similar spray droplet size and wind velocity. To
7 decrease the likelihood of underestimating exposures,
8 application site results were corrected for field spike
9 recoveries."

10 Oh, no that's -- but the real point here was that
11 there were these factors which were identified that would
12 affect bystander. But then I wondered how were those
13 factors -- there's one major bystander study that is
14 relied upon in this. And I wasn't sure whether these
15 factors were at the maximum in that study. In any event,
16 there should be some discussion of how that one study
17 relates to the factors that have been identified as
18 affecting --

19 DPR SENIOR TOXICOLOGIST FRANK: Sure. Well, if
20 you're asking the question, then there needs to be
21 additional clarity that we'll add to it. But our intent
22 is to -- we either use air blast or aerial applications
23 for bystander because of those issues that you were
24 covering there.

25 PANEL MEMBER HAMMOND: And then type of crop

1 was -- it was an orchard. It was an apple orchard.

2 DPR SENIOR TOXICOLOGIST FRANK: Typically the
3 orchards tend to --

4 PANEL MEMBER HAMMOND: The highest. So I think
5 it's worth a discussion of saying, "Here are the factors.
6 And where does the one set of sampling fall within those
7 factors?"

8 DPR SENIOR TOXICOLOGIST FRANK: Yes. And as far
9 as we know, there's -- we do not have any discernable
10 difference between air and ground application when it's
11 done by air blast. So we're essentially assuming that
12 both of those give us the highest value. However, in this
13 case I believe we're using air blast.

14 PANEL MEMBER HAMMOND: What do you mean there's
15 no different between air -- you mean the air concentration
16 is not different whether it's applied by air or --

17 DPR SENIOR TOXICOLOGIST FRANK: We're seeing the
18 same sort of high concentrations --

19 PANEL MEMBER HAMMOND: In the air?

20 DPR SENIOR TOXICOLOGIST FRANK: -- for bystander
21 exposure after air application or after air blast.

22 Remember, some of these air blasts are getting orchards
23 that trees may be 30 feet high.

24 PANEL MEMBER HAMMOND: That would be nice to
25 include that information.

1 DPR SENIOR TOXICOLOGIST FRANK: Certainly. Be
2 happy to.

3 --o0o--

4 DPR SENIOR TOXICOLOGIST FRANK: So, again, after
5 you've had an opportunity to look through that, we'd love
6 to hear any additional comments you may have. But I think
7 we've addressed the concerns. And we agree with the
8 concern that the Panel -- and that's essentially why we
9 made the changes as well.

10 --o0o--

11 DPR SENIOR TOXICOLOGIST FRANK: And this just
12 finishes off by showing that the numbers that were
13 initially used in our calculations -- and when we switched
14 over to bystander for seasonal, you can see that the
15 numbers -- the numbers are higher than the highest value
16 we predicted by the ambient. And we would expect that.

17 --o0o--

18 DPR SENIOR TOXICOLOGIST FRANK: The third section
19 was the particulates. And Dr. Hammond brought this up as
20 well.

21 This is a tough area. We acknowledge that
22 particulates can play a role. But to the best of our
23 knowledge, it's not a significant role with the pesticides
24 that I've looked at. In this particular case we're not
25 even aware of how we could quantitate it. So we've added

1 some discussion. We've added some references to the
2 document where we've essentially acknowledged this
3 potential and basically acknowledged that there is
4 potential that we may have missed some exposure because of
5 particulates getting through.

6 In talking with our resources at the Air
7 Resources Board and Lyn Baker and others, we're fairly
8 confident that it's not a significant loss. But we still
9 should acknowledge that there is potential for an
10 underestimate.

11 CHAIRPERSON FROINES: Well, I have a question
12 about that. Lyn or Kathy may want to comment.

13 Endosulfan has a low vapor pressure. It's not
14 exactly telone, for example. And so given its molecular
15 weight and low vapor pressure, I would anticipate that a
16 fair amount would be absorbed on particles -- adsorbed to
17 particles. And so I'm not sure that it's a trivial issue
18 actually. I'm not sure I agree with you that it's a
19 trivial issue.

20 You can look at that question about what you
21 would think would be the adsorption characteristics. But
22 I'm not so sure. I mean given the high molecular weight,
23 it's going to go straight to particles.

24 PANEL MEMBER ATKINSON: Well, it does appear to
25 be distributed between gas and particle phase.

1 CHAIRPERSON FROINES: Pardon me?

2 PANEL MEMBER ATKINSON: It is apparently
3 distributed between gas and particle phase.

4 CHAIRPERSON FROINES: Yeah, I would expect so.

5 PANEL MEMBER ATKINSON: So it will depend upon
6 temperature and it will also depend upon particle loading.

7 CHAIRPERSON FROINES: Yeah. And --

8 PANEL MEMBER HAMMOND: And it's apparent -- even
9 in the references that you cite, you point out that in
10 some cases all of the endosulfan was collected in gas
11 phase and then you say while others in other studies are
12 particle-bound endosulfan -- this is on page 80, in the
13 highlighted section -- and in these other studies,
14 particle-bound endosulfan either equal or exceeded the
15 amounts received in gas phase.

16 And, by the way, one of those references was
17 missing -- you need to add the shower reference was
18 missing.

19 DPR SENIOR TOXICOLOGIST FRANK: Thank you.

20 PANEL MEMBER HAMMOND: So there is evidence
21 actually ambiently that the particle phase can exceed.
22 And, yes, exactly, it's going to vary by a set of
23 environmental conditions.

24 CHAIRPERSON FROINES: Well, as I look at the
25 structure of endosulfan, it looks to me like, yes, you'll

1 see vapors, gas phase because of the nature of the
2 spraying. But to the degree that you have a high
3 particulate load in any area, this stuff is going to --
4 this is going to adsorb to particles very rapidly. Its
5 vapor pressure is non -- you know.

6 PANEL MEMBER ATKINSON: I mean it's like a PCB in
7 essence. It's got a vapor pressure not much different
8 than some of the PCBs. And it looks like -- at least from
9 its worldwide distribution, it looks like it behaves to a
10 certain extent quite analogous to PCBs.

11 PANEL MEMBER HAMMOND: Off and on, off and on.

12 PANEL MEMBER ATKINSON: Well, the other thing --
13 I mean particles are trapped so that -- I haven't read all
14 this lot. I have to acknowledge that.

15 So we found that particles will under some
16 conditions go through polyurethane foam plugs quite
17 surprisingly. We were surprised at that. So I think
18 you've got to be very careful about the fact that
19 particles are going to be trapped by a bed of resin.

20 PANEL MEMBER HAMMOND: And, again, I would remind
21 you that I beside -- I think it was Joan Daisey's work on
22 styrene which definitely showed that particles -- spray
23 particles of styrene passed through the absorbent tubes,
24 which was a surprise again. I mean I was surprised when I
25 first saw that. It is counterintuitive. But it turns out

1 that experiment after experiment where they really looked
2 at it has found a significant amount of particle
3 penetration in absorbent tubes. So assuming it's not
4 sufficient.

5 DPR SENIOR TOXICOLOGIST FRANK: From our
6 understanding, it can even be more complicated. As the
7 particles are passing through, they're exchanging
8 endosulfan. I mean it's quantitated. I'm not sure how to
9 deal with that.

10 PANEL MEMBER HAMMOND: Oh, you know, in fact, the
11 reality is those kinds of experiments have been laid out.
12 If you go to the literature, you can find out how to
13 determine that. You can do that. That's not impossible.

14 DPR SENIOR TOXICOLOGIST FRANK: I believe the Air
15 Resources Board has been tussling with this question as
16 well. And if you permit, perhaps Lyn could help on this
17 issue.

18 ARB AIR POLLUTION SPECIALIST BAKER: Good
19 morning, members

20 CHAIRPERSON FROINES: I just want to say that
21 we're particularly interested in this. You know, we have
22 a particle center. But what we've discovered is the
23 enormous amount of molecules that people thought might be
24 particle association are actually in the vapor phase. So
25 this is the reverse of that. And if I was -- well, I'll

1 agree with Roger that this looks like a PCB in terms of
2 what you would expect in that regard.

3 ARB AIR POLLUTION SPECIALIST BAKER: Good
4 morning, members of the Panel. Lyn Baker with the Air
5 Resources Board.

6 And as Joe mentioned, Joe and Cheryl of DPR staff
7 have discussed this with me. And I've actually discussed
8 it with our chief chemist, Mike Poore.

9 CHAIRPERSON FROINES: Is you're Mike on?

10 ARB AIR POLLUTION SPECIALIST BAKER: Yeah.

11 CHAIRPERSON FROINES: Bring it a little closer.

12 ARB AIR POLLUTION SPECIALIST BAKER: I've
13 discussed it with our chief chemist, Mike Poore. And we
14 certainly recollect this study as well as a study which
15 Joe mentions there in the second bullet, the
16 azinphos-methyl study, which is also a compound of
17 relatively low molecular -- or vapor pressure, I believe.
18 Where many years ago we actually did a comparison with and
19 without a pre-filter prior to the exit -- the resin. And
20 we really didn't see a whole lot of difference in the
21 concentrations. And based on that and based on the fact
22 that DPR was -- whether it was in the particular phase or
23 in the gaseous phase, they were adding it all together for
24 their exposure assessment.

25 Our lab made the decision at that point that we

1 didn't need to use pre-filters. We certainly agreed that
2 there can be ultrafine particles that could pass through
3 the XAD bids. Out in the rural areas where we do these
4 studies, I would assume that most of the particulate would
5 be of a larger size and not all the ultrafines maybe that
6 you find in an urban area.

7 PANEL MEMBER HAMMOND: Well, actually a couple
8 things. Kind of back-up.

9 I thought I remembered from the September meeting
10 that the pre-filter was not analyzed for the pesticide.
11 Is that not -- maybe I'm remembering wrong. I thought
12 that they were saying there was no difference but the
13 pre-filter had not been analyzed.

14 ARB AIR POLLUTION SPECIALIST BAKER: Oh, no. In
15 the azinphos-methyl study we had analyzed them both.

16 PANEL MEMBER HAMMOND: And you analyzed -- but
17 you weren't -- the endosulfan was not part of that?

18 ARB AIR POLLUTION SPECIALIST BAKER: No, no, no,
19 no.

20 PANEL MEMBER HAMMOND: You were just using that
21 as an model compound?

22 ARB AIR POLLUTION SPECIALIST BAKER: That's just
23 as an -- yes, exactly.

24 PANEL MEMBER HAMMOND: Okay. Yeah, that's
25 exactly what she would want to do.

1 ARB AIR POLLUTION SPECIALIST BAKER: But as Joe
2 mentioned, we --

3 PANEL MEMBER HAMMOND: But I also think that
4 there are a lot of fine particles also in agriculture
5 because you get atmospheric chemistry making particles.

6 ARB AIR POLLUTION SPECIALIST BAKER: That's fine.
7 But I'm not sure about the ultrafines that you would
8 expect associated with combustion in an urban area. I
9 know from experience that, as Jim mentioned, in the first
10 bullet there, we have seen the top of the resin beds that
11 get colored with the particulate. So it does indicate
12 that some of it is being trapped by the resin bed.

13 Also, our chief chemist pointed out to me that
14 these XAD tubes when they're packed, that they
15 commercially made XAD tubes, have glass wool on top of the
16 XAD resin to hold it in place. So when the top of the
17 glass tube is broken off prior to sampling, the air comes
18 through the opening in the tube and counters that bed of
19 glass wool before it impacts the XAD. He would expect
20 that the glass wool would also act as somewhat of a filter
21 for some particles.

22 PANEL MEMBER HAMMOND: Again, the studies that
23 Joan Daisey did included those same kind of tubes with the
24 glass wool.

25 ARB AIR POLLUTION SPECIALIST BAKER: Okay. Well

1 we may need the relook at those. We may need to --

2 PANEL MEMBER HAMMOND: And this may be more of,
3 you know, something to just be watching in the future to
4 at least make these assessments.

5 ARB AIR POLLUTION SPECIALIST BAKER: Yeah.

6 CHAIRPERSON FROINES: Well, see, the other thing
7 is that, you know, life is changed dramatically as the San
8 Joaquin Valley has many more mobile sources and pollution.
9 And so you have fossil fuel, incomplete combustion, and
10 also you're getting things blown into the valley from San
11 Francisco and the -- my view is that endosulfan's going to
12 have a very strong van der Waals forces holding that
13 will -- if the endosulfan binds with it. And I would
14 suspect that it will be -- it would be an interesting
15 problem of extraction. And so one may need to make sure
16 that the extraction, you know, may use methylene chloride
17 but something also like acetonitrile, and so that you're
18 really trying to get everything off.

19 Because I think this compound's going to be held
20 very tightly to particles. So that I think this is
21 something that needs a relook. If you have high molecular
22 weight compounds that have a lot of polar groups on them,
23 they're going to stick, I think. And am I -- do you think
24 that's correct? I mean Terrence Brisby's studies --

25 PANEL MEMBER ATKINSON: I mean they certainly

1 will -- it all depends upon their red pressure and their
2 essentially optimal water and -- optimal lab partition and
3 water pressure.

4 CHAIRPERSON FROINES: I was just going to say one
5 other thing.

6 PANEL MEMBER BLANC: How come we don't have
7 inspirational speakers.

8 (Laughter.)

9 PANEL MEMBER BYUS: Cheer. We should have
10 cheering occasionally.

11 CHAIRPERSON FROINES: I think this is an issue
12 that ARB and DPR should relook at, because I think that we
13 may be missing some exposures, and that wouldn't be done.

14 Is that a fair conclusion from your standpoint?

15 ARB AIR POLLUTION SPECIALIST BAKER: That's a
16 good recommendation, Dr. Froines. And as you mentioned,
17 you're correct, that the San Joaquin Valley has a lot more
18 automobiles and combustions and then products of
19 incomplete combustion than it did 20 years ago.

20 CHAIRPERSON FROINES: Yeah. Well, what I'm
21 saying of course is that you have a lot more particles in
22 the air.

23 ARB AIR POLLUTION SPECIALIST BAKER: Much more,
24 much more.

25 CHAIRPERSON FROINES: And so you have more

1 opportunity for adsorption.

2 And there was one other thing I was going to say.

3 It'll probably come back to me.

4 Oh, the other question is: Are you generating
5 many ultrafines that could contain some more volatile
6 compounds by atmospheric chemistry? And I don't know the
7 answer to that.

8 So I mean -- ultrafines aren't just a product --
9 aren't just a product of --

10 PANEL MEMBER HAMMOND: No, that's what I was
11 trying to say. Yes, there definitely are in the Central
12 Valley from agriculture, yeah.

13 CHAIRPERSON FROINES: So thank you.

14 DPR SENIOR TOXICOLOGIST FRANK: Sure.

15 I agree also. You have brought this to our
16 attention and we're definitely discussing it with the Air
17 Resources Board to try and -- we constantly are having new
18 monitoring taking place. We want to make sure that if we
19 can deal with this, we can do it appropriately.

20 CHAIRPERSON FROINES: Well, let's use a Tisch
21 sampler. And then you can have an XAD. I mean that's
22 what we would use if we were going to do this.

23 ARB AIR POLLUTION SPECIALIST BAKER: Our lab
24 wouldn't have the resources to put five or six of these --

25 CHAIRPERSON FROINES: We could loan you them.

1 No, I'm serious. We have them. You could borrow
2 them.

3 ARB AIR POLLUTION SPECIALIST BAKER: Well, we can
4 discuss -- we and DPR will definitely talk about this.

5 CHAIRPERSON FROINES: Then we'll write a paper so
6 we can get something good out of it.

7 Go ahead. I'm sorry. I'm being -- not using
8 time well.

9 DPR SENIOR TOXICOLOGIST FRANK: And thank you for
10 your offer.

11 --o0o--

12 DPR SENIOR TOXICOLOGIST FRANK: So, in essence,
13 what Cheryl has put together is the rest of that
14 discussion. Fractions of endosulfan and the particulate
15 versus a gas phase varies. Vapor pressure, total
16 suspended particulate concentration and temperature are
17 all factors that are going to impact this. And it's
18 unclear whether an estimate -- an underestimate has
19 occurred. And we believe that it is possible and we do
20 believe that we need to acknowledge it. But to quantitate
21 it, we're not aware of how we could do that.

22 --o0o--

23 CHAIRPERSON FROINES: I think it's worth just
24 saying that there is a potential for some underestimation.

25 DPR SENIOR TOXICOLOGIST FRANK: We agree.

1 CHAIRPERSON FROINES: You do?

2 DPR SENIOR TOXICOLOGIST FRANK: Yes. And I
3 believe Air Resources agrees as well.

4 Any additional questions on the exposure side?

5 PANEL MEMBER HAMMOND: I just have a few small
6 things.

7 DPR SENIOR TOXICOLOGIST FRANK: Certainly.

8 PANEL MEMBER HAMMOND: Let's see. Golf courses
9 were mentioned. One of the incidents was at a golf
10 course. Is endosulfan still being used in golf courses,
11 to your knowledge? One way or the other, I just think
12 that information should be included.

13 DPR SENIOR TOXICOLOGIST FRANK: Okay.

14 PANEL MEMBER HAMMOND: Because golf courses can
15 often be nearby residential areas.

16 DPR SENIOR TOXICOLOGIST FRANK: Yes.

17 PANEL MEMBER HAMMOND: And so that would be
18 relevant. And similarly greenhouses, the same issue
19 there.

20 There's a paragraph that's repeated on page 34
21 and 37. And it really can't be belonging in both places.
22 I think the second is the wrong.

23 DPR SENIOR TOXICOLOGIST FRANK: Okay. Thank you.

24 PANEL MEMBER HAMMOND: On page 34 and 37, I think
25 you'll see it.

1 There's a lack of clarity in the sampling that
2 was done around the application. There's discussion at
3 one point that there were two sampling stations on the
4 north. And in another place in the document it says there
5 were two sampling stations on the south. It gets very
6 confusing.

7 So, again, if you could just clarify that.

8 DPR SENIOR TOXICOLOGIST FRANK: Certainly.

9 PANEL MEMBER HAMMOND: So I think that -- but
10 those are relatively minor. I also think that discussion
11 of the particles that you have on page 80, I would suggest
12 you move that to the section you have on the QC and you
13 have that other discussion about the problems with the
14 field and trip blanks and the recoveries and some of those
15 things. I just would do -- it just seems to me that kind
16 of a QC discussion belongs together. It kind of comes in
17 the middle on page 80 where you're kind of synthesizing a
18 lot of other things. I mean it's just a suggestion. It's
19 not serious.

20 So just my major points is I do think a
21 little more -- I'm really glad you added the illness. I
22 think that that's useful. But a little more -- to explain
23 a little more on that. To at least mention that the
24 ambient sampling, even though I know later you don't rely
25 on it. But the ambient sampling is not the maximum,

1 because it was done at a month. It was at half of the
2 maximum usage in the --

3 DPR SENIOR TOXICOLOGIST FRANK: Right. And since
4 we do present the study, I have no problem presenting that
5 information as well.

6 PANEL MEMBER HAMMOND: Yeah, you'd just kind of
7 acknowledge that at the end of it, that that's all it
8 takes. I mean, you know, it's what happens when you do
9 this sampling.

10 And then now I'm going to step out -- totally
11 outside of my area of expertise -- well, there's a
12 discussion in the fate chapter about how in laboratory
13 experiments in the first 24 hours material that's applied
14 to the soil and also to leaves actually evaporates, is
15 back in the air, over half of it within the first 24
16 hours. Which I should say, I was surprised. I had not
17 realized it was that volatile. It occurred to me one
18 could at least talk about how much is applied in general
19 and just make, you know, like if that were to all
20 evaporate in a time, just some sense of that. Because I
21 know that sometimes when the TAC was being done on diesel,
22 there was a discussion of how much is being emitted into
23 the state. You could talk about that way, just a little
24 bit of that.

25 Oh, and one final comment. There's a discussion

1 about how because there's a decrease in the usage of
2 endosulfan, that means there's a decrease in exposures.
3 And I think we have to be careful about that. It may be
4 that there are fewer people exposed. But if they're
5 spraying an orchard, unless they're actually using less on
6 that orchard, the bystander will still have the same
7 exposure. We don't -- and if the uses go down to
8 one-third, it doesn't mean the bystander gets one-third.
9 It just means one-third as many people maybe or something.
10 But we have to be careful about that. And there's a
11 little bit of that in there.

12 DPR SENIOR TOXICOLOGIST FRANK: We can rephrase
13 that, because I totally agree with you.

14 PANEL MEMBER ATKINSON: Well, I had some comments
15 on the environmental section. In fact, I typed them up so
16 I can give you them afterwards. But there's certain areas
17 on page 31 -- or 30 that need to be clarified. That's
18 mainly the lab studies that's in there.

19 Anyway, I've got a write-up and some suggested
20 language. I can give you it.

21 CHAIRPERSON FROINES: Just from the standpoint of
22 the rest of the Panel members, are there any points that
23 you could bring up now that might be of interest for the
24 rest of the Panel?

25 PANEL MEMBER ATKINSON: I'm sorry, for --

1 CHAIRPERSON FROINES: What I'm saying is you're
2 going to give them your written comments. But then nobody
3 else will know what you've given them.

4 PANEL MEMBER ATKINSON: Oh, that's true, yes.

5 CHAIRPERSON FROINES: So if there's anything of
6 consequence which you think is worth --

7 PANEL MEMBER ATKINSON: Well, there's a few
8 strange typos. Also, Riverside County apparently is in
9 the San Joaquin Valley, which I'm surprised at.

10 DPR SENIOR TOXICOLOGIST FRANK: We moved it.

11 (Laughter.)

12 PANEL MEMBER ATKINSON: Oh, okay. Yes.

13 So it's the stuff that's on page 30, the first
14 full paragraph dealing with the alleged radical reactions
15 in the gas phase. And all those studies are on -- well,
16 at least they're not available in the then sort of normal
17 peer-reviewed literature. So I think some additional
18 details need to be given.

19 On one of them the stuff by Kloepffer, et al.,
20 it's done in solution in actual fact, and it assumes that
21 the solution phase reactivities on a relative basis are
22 equal to the gas phase one. So they measure things
23 relative to toluene in the solution phase and in an inert
24 solvent, and assume that the gas phase reactions have the
25 same relative reactivity. So that needs to be brought

1 out. And I got an additional reference there for you.

2 DPR SENIOR TOXICOLOGIST FRANK: Thank you.

3 PANEL MEMBER ATKINSON: So essentially just
4 tidying that lot up and then tidying up what the overall
5 lifetime would be. Because you're using a rather strange
6 average electronical concentration and lower than what
7 people normally use. And I've got a reference for that.

8 DPR SENIOR TOXICOLOGIST FRANK: Okay. Thank you.

9 PANEL MEMBER ATKINSON: And then that needs to be
10 brought over on this -- some of that needs to be tidied up
11 in the Volume 3 -- no, Volume 1 on page 31 and 32. Some
12 of that just needs to be tidied up.

13 All were fairly minor, but it will make it a lot
14 easier to read.

15 DPR SENIOR TOXICOLOGIST FRANK: No, we appreciate
16 your comments.

17 CHAIRPERSON FROINES: Gary.

18 PANEL MEMBER FRIEDMAN: I had something -- there
19 was something in the Executive Summary on Roman numeral
20 page 8 that puzzled me, and I couldn't immediately find it
21 in the full report. It's about dietary MOEs. And it said
22 something to the effect that tolerance levels of
23 endosulfan for apple, melon, tomato in selected population
24 groups were all, except for seniors 55 years and over,
25 less than a hundred.

1 Why would there be a difference for -- why would
2 seniors have a different situation with that?

3 DPR SENIOR TOXICOLOGIST FRANK: Do you want --
4 that was actually done by medical toxicology. So I'm
5 going to have Marilyn address that when she comes up, if
6 that's all right.

7 PANEL MEMBER FRIEDMAN: Sure.

8 PANEL MEMBER HAMMOND: Are we discussing also the
9 OEHHA -- this is the OEHHA findings; is that right? Are
10 we discussing those now too?

11 CHAIRPERSON FROINES: You can.

12 PANEL MEMBER HAMMOND: As they're related.

13 So in that same finding that Gary just mentioned,
14 the range that's given is incorrect. It doesn't agree
15 with Table 15.

16 CHAIRPERSON FROINES: So the OEHHA?

17 PANEL MEMBER HAMMOND: Yeah. So they say that
18 for 28 samples they range from .0078 to 1.4 micrograms per
19 cubic meter, but in the table's from 1.004 to 4.56.

20 CHAIRPERSON FROINES: Yeah, which table are
21 you --

22 PANEL MEMBER HAMMOND: I'm looking at the OEHHA
23 findings, page 3, at the top of the page, and comparing
24 that to Table 15.

25 CHAIRPERSON FROINES: In the document?

1 PANEL MEMBER HAMMOND: In the document. Now, I'm
2 back to the exposure document. I'm just trying to keep
3 these things in sync where I can catch them.

4 CHAIRPERSON FROINES: I'm just trying to make
5 sure we know where to look, that's all.

6 PANEL MEMBER HAMMOND: Yes. So if you go to page
7 15 -- I mean page 38, Table 15. Sorry. So page 38.
8 You'll see that there's an alpha end -- I mean there
9 actually -- there's a 4.56 and a 2.09. So it's just the
10 range.

11 DPR SENIOR TOXICOLOGIST FRANK: Yes. As soon as
12 we get all of your comments, what we will do as well is
13 sit down with our friends at OEHHA and make sure that both
14 documents have the appropriate numbers.

15 PANEL MEMBER HAMMOND: Yeah, okay.

16 CHAIRPERSON FROINES: I'm sorry, Kathy. I'm
17 slow. What document are you --

18 PANEL MEMBER HAMMOND: All right. Start with the
19 Volume 2, exposure assessment.

20 CHAIRPERSON FROINES: Yes. Then I'm fine. I
21 know -- I was just looking at the wrong document.

22 PANEL MEMBER HAMMOND: Page 38.

23 CHAIRPERSON FROINES: Yeah, got it.

24 PANEL MEMBER HAMMOND: I do want to make clear
25 that all my comments that -- I mean I keep always making

1 the critical comments. But this is very good work. So
2 just --

3 DPR SENIOR TOXICOLOGIST FRANK: Thank you.

4 PANEL MEMBER HAMMOND: -- just trying a make a
5 good product a little better.

6 DPR SENIOR TOXICOLOGIST FRANK: Appreciate that.

7 PANEL MEMBER HAMMOND: And that may cascade into
8 some other areas as well as you do that into the MOEs. I
9 didn't even calculate whether that affects the MOEs.

10 DPR SENIOR TOXICOLOGIST FRANK: Well, I must
11 admit that we consider your comments very helpful and they
12 actually have allowed us to make a better and more clear
13 document. So we appreciate it.

14 CHAIRPERSON FROINES: Other comments?

15 It looks like we've finished this section.

16 Thank you very much.

17 DPR SENIOR TOXICOLOGIST FRANK: Thank you, Dr.
18 Froines.

19 CHAIRPERSON FROINES: The Panel needs to be
20 thinking about as we go through today -- Roger and Kathy
21 have made a number of suggestions and there's been no
22 controversy around DPR's response. So that one of the
23 questions is: Are the changes that are recommended such
24 that we would prefer to have a final look at the document
25 before approval? Or do we approve at this meeting with

1 the opportunity to look at the document changes and --
2 because we'll talk about the findings at the next meeting.

3 PANEL MEMBER BLANC: Well, John, I'm not quite
4 sure about the order that things are going to be discussed
5 in. But isn't the elephant in the room the -- isn't the
6 elephant in the room the difference of world view between
7 OEHHA and DPR as to whether or not when there is a dietary
8 source of exposure that tips the balance of an
9 inhalational exposure which would not otherwise achieve
10 the regulatory threshold for DPR designation under their
11 system? I mean isn't that the major precedence-related
12 issue potentially here?

13 And so until we struggle with that, I don't think
14 it's possible, unless I don't --

15 CHAIRPERSON FROINES: No, all I was saying was
16 that's -- this is an issue to keep in the back of your
17 minds as we go through the day.

18 PANEL MEMBER BLANC: And where will that
19 discussion fall in this?

20 CHAIRPERSON FROINES: After we're finished.

21 PANEL MEMBER BLANC: No, I mean where -- at what
22 point do we start to tackle with that? Do we wait till
23 OEHHA makes their presentation or will it be embedded in
24 the presentation of the risk assessment?

25 CHAIRPERSON FROINES: I don't know the answer to

1 that. We'll find out.

2 PANEL MEMBER BLANC: Well, maybe DPR could tell
3 us where it fits into their presentation.

4 CHAIRPERSON FROINES: Welcome.

5 DPR STAFF TOXICOLOGIST SILVA: Thank you. I'm
6 Marilyn Silva from Med Tox, DPR.

7 PANEL MEMBER FRIEDMAN: Could you move it in
8 closer please.

9 DPR STAFF TOXICOLOGIST SILVA: Let me just get
10 set up here a second.

11 CHAIRPERSON FROINES: Okay. Could barely hear
12 you there.

13 (Thereupon an overhead presentation was
14 Presented as follows.)

15 DPR STAFF TOXICOLOGIST SILVA: My name is Marilyn
16 Silva from DPR. And I wasn't really sure if I was going
17 to be giving an actual slide presentation today, so I
18 didn't make copies for everyone. But this will be a
19 presentation of the changes suggested by the SRP for
20 endosulfan prior to possible recommendations for listing.

21 --o0o--

22 CHAIRPERSON FROINES: You're still too far away
23 from the mike, I'm afraid, for my aging ears.

24 DPR STAFF TOXICOLOGIST SILVA: And this slide is
25 the slide of my major changes that I made, starting with

1 cholinesterase, where apparent effects on cholinesterase
2 are inconsistent, occur only at high doses, and are likely
3 secondary to systemic toxicity. A decrease in plasma and
4 RBC, cholinesterase was observed in female rats in the
5 subchronic dietary study, but only at toxic doses of 27
6 milligrams per kilogram per day.

7 Endosulfan is a chloride channel blocker in the
8 CNS and shows no direct effect on brain cholinesterase in
9 rats. And I gave the proximate page numbers there,
10 assuming everyone has the same copy.

11 There was a suggestion that I make more of an
12 emphasis on the neurotoxic effect of endosulfan. The mode
13 of action of endosulfan is to bind and inhibit the GABA
14 receptor chloride ion channel-binding complex, thereby
15 inhibiting GABA-induced chloride flux across membranes.
16 And I added that in several areas.

17 With regard to biotransformation,
18 stereo-selective endosulfan plus sulfate formation in
19 vitro from human recombinant P-450 showed that alpha
20 endosulfan is mediated by CYP 2B6, CYP 3A4, and CYP 3A5,
21 and the beta isomer by CYP 3A4 and CYP 3A5.

22 Endosulfan modifies the anti-oxidant enzyme
23 superoxide dismutase, catalase, glutathione peroxidase,
24 glutathione transferase, and glutathione reductase, as
25 well as glutathione in rat liver lung and erythrocytes

1 when administered via aerosol or in cell cultures, but
2 usually blastoma cells, potentially contributing to
3 anti-oxidant stress in some tissues.

4 With regard to genotoxicity, I added three more
5 recent genotoxicity studies. And there were wording
6 changes that although there are numerous gene tox studies
7 in the published literature, not all were described, only
8 the studies that were thorough and competently reported.

9 CHAIRPERSON FROINES: Marilyn?

10 DPR STAFF TOXICOLOGIST SILVA: Yes.

11 CHAIRPERSON FROINES: I think I'm the dim bulb of
12 the group today.

13 The pages 1 through 3 -- I'm looking at the
14 hazard identification risk assessment document. But I
15 don't see the 1 through 3. Am I --

16 DPR STAFF TOXICOLOGIST SILVA: Pages 1 through 3
17 should be the summary.

18 CHAIRPERSON FROINES: What?

19 PANEL MEMBER BLANC: The Executive Summary.

20 DPR STAFF TOXICOLOGIST SILVA: No, not the
21 Executive Summary but the summary at the beginning of
22 these.

23 And what I did for all these page numbers, I
24 wanted it known that they were being added to the summary
25 and eventually the Executive Summary as well as to the

1 body of the text.

2 CHAIRPERSON FROINES: So has everybody else found
3 the structures?

4 DPR STAFF TOXICOLOGIST SILVA: Structure's on
5 page 3.

6 Now, you know, this is my copy that I printed. I
7 don't know if yours is exactly the same, but I put the
8 structure in.

9 PANEL MEMBER PLOPPER: Mine starts on page 4.

10 PANEL MEMBER LANDOLPH: Marilyn, what you
11 e-mailed me was what you're stating now. But I think the
12 other copy of the document didn't have any of that stuff.

13 DPR STAFF TOXICOLOGIST SILVA: Well, I guess
14 you're missing some pages. But here it is.

15 PANEL MEMBER HAMMOND: What page is that?

16 DPR STAFF TOXICOLOGIST SILVA: This is page 3.

17 PANEL MEMBER LANDOLPH: Marilyn, I think the
18 problem is, what was sent out in November did not -- to
19 all of us did not have those pages. But what you so
20 nicely e-mailed me yesterday does have those pages.

21 CHAIRPERSON FROINES: Because I don't -- my
22 document starts on page 4.

23 PANEL MEMBER PLOPPER: Yeah, so does mine.

24 CHAIRPERSON FROINES: So that we don't have that.

25 Does this mean that -- on page 35 and 36 is the

1 discussion about absorption.

2 DPR STAFF TOXICOLOGIST SILVA: On page 35 and 36?

3 CHAIRPERSON FROINES: And on 39 and 40 it's
4 inhalation.

5 DPR STAFF TOXICOLOGIST SILVA: Wait. I have this
6 one.

7 CHAIRPERSON FROINES: Oh, here there is some --
8 yeah, there is some metabolism data on 40.

9 DPR STAFF TOXICOLOGIST SILVA: On 39 under
10 "inhalation" is a study that describes an inhalation
11 exposure where various catalasa -- or actually
12 dismutase --

13 PANEL MEMBER HAMMOND: Can you say exactly
14 where --

15 DPR STAFF TOXICOLOGIST SILVA: Page 40, it's 1,
16 2 -- third paragraph -- oh, talks about the P-450s.

17 CHAIRPERSON FROINES: Yeah, I see that. But 35
18 and 36 doesn't -- as far as I can see, doesn't have any --

19 PANEL MEMBER BLANC: I think, John, the problem
20 is that there was a later revision to the document which
21 is not -- was not circulated to the Panel.

22 CHAIRPERSON FROINES: Is that correct?

23 PANEL MEMBER LANDOLPH: John?

24 DPR STAFF TOXICOLOGIST SILVA: Oh, I think part
25 of the --

1 CHAIRPERSON FROINES: Because I have a letter
2 dated November 16th that had all these documents.

3 DPR STAFF TOXICOLOGIST SILVA: These two
4 studies -- the study on page 36 is the Chan, et al. And I
5 just made some changes about the -- in another
6 biotransformation assay on page 35 by Dorough, 1978.

7 CHAIRPERSON FROINES: So this document that I
8 have has the metabolic pathway it appears on page 42.

9 DPR STAFF TOXICOLOGIST SILVA: Right. It should
10 be the same one. There were -- the heading -- for some
11 reason these didn't end up on the same page they were
12 supposed to. But the heading on the previous page has all
13 the enzymes added -- the metabolic enzymes added.

14 CHAIRPERSON FROINES: I've always worried about
15 this. We've got this big double bond sitting there in
16 endosulfan. And it's possible you're also going to have
17 another pathway which is forming of the epoxide. And I
18 don't know if there are any studies that have looked for
19 products of the epoxide or the diol that would result from
20 epoxide hydrolase. I assume that -- I'm assuming that
21 there are no studies that have looked at that.

22 DPR STAFF TOXICOLOGIST SILVA: No, I didn't see
23 specifically epoxide hydrolase used for that.

24 CHAIRPERSON FROINES: No, till you get the
25 epoxide first.

1 DPR STAFF TOXICOLOGIST SILVA: Yeah, I did not
2 see that intermediate or the use of epoxide hydrolase.

3 CHAIRPERSON FROINES: Yeah, I think this
4 metabolism is actually much more complicated than this.
5 But it's more a lack of data to look at it.

6 So go ahead. Don't let me hold you up.

7 DPR STAFF TOXICOLOGIST SILVA: Okay. Further
8 clarification of endosulfan's lack of oncogenicity was
9 added. Neither in FIFRA guidelines acceptable animal
10 studies nor in open literature was endosulfan found to be
11 oncogenic. There were inconclusive findings from
12 contradictory results of genotoxicity induced by
13 endosulfan technical as measured by gene mutation,
14 chromosomal aberration, and other genotoxic effects, tests
15 and studies submitted to DPR and those found in open
16 literature. And also endosulfan is categorized as an A4,
17 not classifiable as a human carcinogen, by the American
18 Conference of Governmental Industrial Hygienists.

19 CHAIRPERSON FROINES: Do you want to ask
20 questions now, Joe?

21 PANEL MEMBER LANDOLPH: Yeah, for Marilyn.

22 DPR STAFF TOXICOLOGIST SILVA: And let me say
23 that I -- we really struggled with the wording, but we are
24 totally open to any suggestions.

25 PANEL MEMBER LANDOLPH: Well, I think with regard

1 to the genotoxicity, I probably reiterate most of the
2 comments I made and Dr. Froines made last time. If you
3 look at those very nice tables summarizing the data you
4 have, for instance, in the Ames assays there's a lot of
5 negatives, but then there's a few assays that are
6 positive. So that doesn't mean that it's negative. It
7 doesn't mean it's inconclusive. What it means is if you
8 look at the spectrum of mutations that are allowed to be
9 formed, it doesn't make these lesions but it makes these
10 lesions. So it really is positive.

11 And as you point out in your summary, it
12 causes -- endosulfan causes DNA adducts, DNA damaged by
13 the common assay, chromosomal aberrations, and there's
14 three -- all the bone marrow studies are positive. So to
15 me that's a significant amount of gene tox data. So I
16 would not call that inconclusive.

17 OEHHA's wording I think is a little bit more
18 appropriate -- say a lot more appropriate, where you could
19 say that it's negative in certain standard tests but that
20 it's positive in causing DNA adducts, DNA damage, bone
21 marrow positives and point out the other positives. I
22 think it is genotoxic. And I would request that you
23 please alter that wording, both in the summary here and in
24 the Executive Summary. It doesn't appear at all. I think
25 you should please put some gene tox summary there.

1 CHAIRPERSON FROINES: Gary.

2 PANEL MEMBER FRIEDMAN: I had something along
3 those lines. I was a little concerned. I'm not sure
4 where I read it, but they were saying that this did not
5 produce cancer in experimental animals. But then there
6 was a sentence that said, "But later on somebody
7 reinterpreted those slides and did find that the cancers
8 were being produced." And then the next sentence says,
9 "We conclude there's no carcinogenicity."

10 DPR STAFF TOXICOLOGIST SILVA: No, that -- I
11 don't think that was mine. That was a study done in 1978
12 by Powers. And that was the group that were -- they were
13 testing hundreds of pesticides and chemicals for
14 carcinogenicity. They tested rats and mice. And the
15 study that was being referred to was in rats. And there
16 was a huge amount of mortality.

17 And the person who reinterpreted the slides,
18 there's no description at all of how it was read, if it
19 was impartial. He was doing the reading. And generally
20 under the pathology working group, you have at least three
21 different labs -- independent labs looking over the same
22 slides, you know, double blinded. But this person, you
23 know, he didn't talk about his methods and --

24 PANEL MEMBER FRIEDMAN: I think it would be very
25 good if you could -- maybe it is in the full report. But

1 what I read, it would be good if you explained why you
2 didn't take it real seriously. Because here it says, "It
3 was reinterpreted cancer was found. We conclude there's
4 no cancer." It seemed to me like a non sequitur.

5 DPR STAFF TOXICOLOGIST SILVA: Well, okay, okay.

6 PANEL MEMBER FRIEDMAN: But I think, you know,
7 the doubts you express are very important, very valid, and
8 they should be in there.

9 CHAIRPERSON FROINES: I have a question about
10 that as well that I'm confused about and Melanie or George
11 can address, I think.

12 In the OEHHA document on the same topic, OEHHA
13 says, "A reanalysis of pathology slides from the two
14 National Cancer Institute studies of 1978 suggested that
15 both were positive for carcinogenicity." Well, that's a
16 pretty strong statement. And then OEHHA says, "Based on
17 all the above information, we find there is insufficient
18 evidence to suggest endosulfan is carcinogenic." Well,
19 your sentence before that says that the reanalysis says it
20 is carcinogenic and then you follow up that sentence and
21 say it's not carcinogenic.

22 So I think there is a contradiction. And I think
23 that it could be solved by saying that -- you can say
24 there's insufficient to suggest endosulfan is
25 carcinogenic. But since there was some ambiguity, further

1 investigation needs to occur on this compound.

2 DPR STAFF TOXICOLOGIST SILVA: Okay. We strongly
3 disagree with that, because a later test was -- let's see.

4 The mortality was so high -- they used only two
5 treatment levels. The mortality was so high that it
6 precluded any useful oncogenicity data. And there was
7 also no analysis of the treatment material, so we don't
8 even really know what they were getting.

9 CHAIRPERSON FROINES: All I'm saying -- all I was
10 saying is I was suggesting -- that you say there's
11 insufficient evidence. I didn't quarrel with that. But I
12 said that I -- if I make an epoxide on that compound with
13 that double bond, it's going to bind -- it's going to form
14 an electrophilic bond with DNA. So there is a mechanistic
15 basis to -- and there is inadequate evidence on an epoxide
16 formation. But if there is epoxide formation, then you've
17 got a perfect situation. And that would explain DNA
18 adducts. I mean there is -- there are DNA adducts, and
19 that we know. And that's an important finding. That
20 shows that there's some electrophilic site in endosulfan
21 that is capable of binding with DNA.

22 Now, if you don't get complete DNA repair before
23 the cell turns over, you're going to have a mutagenicity.
24 And we've already agreed that it looks like this stuff is
25 mutagenic. And so that doesn't guarantee that it goes on

1 to produce cancer. We know that.

2 But all I'm saying -- I wouldn't disagree so
3 strongly. I would simply say that further studies in the
4 future on endosulfan carcinogenicity would be reasonable.

5 Who can -- I'm an academic. I'm always
6 interested in more research.

7 DPR STAFF TOXICOLOGIST SILVA: I can't agree
8 because -- well, there's been the one-year dog and the
9 two-year rat. And this 1978 study had so many problems.
10 I mean I could spell those out in greater detail in the --

11 CHAIRPERSON FROINES: But the fact that you get a
12 couple negative studies doesn't mean that the compound is
13 negative. It depends -- we're not -- a one-year dog study
14 isn't an adequate study.

15 DPR STAFF TOXICOLOGIST SILVA: Well --

16 CHAIRPERSON FROINES: I mean a one-year dog
17 study, you're studying a puppy. So you're looking at
18 early life carcinogenicity.

19 A two-year rat study is certainly reasonable.

20 But I'm going on what OEHHA says here, that a
21 reanalysis of pathology slides from the two studies
22 suggested that they were both positive for
23 carcinogenicity.

24 So all I'm asking is to say further investigation
25 is reasonable. Nobody can disagree with that.

1 OEHHA DEPUTY DIRECTOR ALEXEEFF: Hi. This George
2 Alexeeff. Yeah, we'll make that clarification.

3 And part of our -- we felt it was important to
4 mention this reanalysis. At the same time whenever there
5 is a reanalysis of slides, I don't know, I guess we sort
6 of take it with a little bit of a grain of salt, unless
7 the reanalysis sort of leads to a rethinking of all the
8 information. Because the reanalysis is usually done with
9 an informed -- on an informed sort of basis, as opposed to
10 the original study where you are not sure what the result
11 is going to be. So there were some questions, as Marilyn
12 mentioned, in terms of the study design and such. So we
13 felt it was important to mention, but it wasn't convincing
14 to us.

15 So we'll add that clarification as to why we kind
16 of made that sort of leap of statement.

17 CHAIRPERSON FROINES: Let me just reiterate a
18 point I want to make.

19 In the 1970s when all this got going, and in the
20 early 1980s, EPA put out a document that showed there were
21 a hundred in vitro tests that could be used for looking at
22 mutagenicity. So we had a hundred tests. And we later
23 found out that they simply measured the same kinds of
24 endpoints, a lot of them. And so they were just tests.
25 They were individual tests, that all were in a sense

1 originally seen as separate from one another.

2 Now, we think about things differently because we
3 think about mechanism. And if you have a study that shows
4 DNA adducts are formed, then you have to say there is a
5 potential for that to be carcinogenic based on mechanism.
6 And so it's a different process. It's not looking at in
7 vitro tests as individual little marker tests saying if
8 you've got 17 that are positive and 3 that are negative,
9 you conclude it's -- I mean there's no discussion about
10 criteria. We ought to have a discussions at some point
11 about criteria for determining what's positive and what's
12 not. Because in this case, you have a lot of positive
13 tests that Joe's pointed out. But then DPR says it's not
14 genotoxic. Well, we fundamentally disagree with that.

15 DPR STAFF TOXICOLOGIST SILVA: No, we didn't.

16 CHAIRPERSON FROINES: What?

17 DPR STAFF TOXICOLOGIST SILVA: We changed our
18 wording.

19 CHAIRPERSON FROINES: Yeah. So I'm saying that
20 if it's genotoxic, it has the potential mechanistically
21 for carcinogenicity. And so all I'm saying is putting in
22 one sentence that says we should look further into the
23 fact that there is genotoxicity and there was some
24 positive results from the NCI studies seems to me to
25 be -- I mean it's a sentence.

1 OEHHA DEPUTY DIRECTOR ALEXEEFF: Agreed.

2 George Alexeeff. That sounds fine to us.

3 CHAIRPERSON FROINES: So, Marilyn, I think -- I
4 don't know why you would say you disagree so strongly.

5 DPR STAFF TOXICOLOGIST SILVA: I disagree
6 strongly because the person who reanalyzed the slides, it
7 was done so poorly, with absolutely no controls at all.
8 And so it makes me highly suspicious, especially when the
9 mortality is so high and there is not a sufficient test
10 for oncogenicity.

11 CHAIRPERSON FROINES: But you're missing my
12 point. My point was that there is evidence -- there's
13 evidence of genotoxicity and, therefore, carcinogenicity
14 should be studied --

15 PANEL MEMBER BLANC: John, maybe --

16 CHAIRPERSON FROINES: -- by definition.

17 PANEL MEMBER BLANC: -- maybe we should take a
18 break. We're at that sort of 90-minute --

19 CHAIRPERSON FROINES: Just a second.

20 No, I don't want to --

21 PANEL MEMBER LANDOLPH: Yeah, I would support
22 John's statements. I feel the same way. I think there is
23 certainly genotoxicity.

24 In addition, there is inhibition of gap
25 junctional communication, which you pointed out nicely in

1 your report, which is very much what PCBs do. So on the
2 one hand you have genotoxicity and you have gap junctional
3 communication inhibition, which is an attribute of
4 carcinogens. So we're not saying you can say this is
5 carcinogenic. That's not what we're saying. But we're
6 saying, based on these properties, it should certainly be
7 studied further and conclusive carcinogenicity studies
8 done in the future to put this issue to rest one way or
9 another, because there is suspicion that it might be based
10 on genotoxicity and inhibition of gap junctional
11 communication.

12 And it's an important issue which needs to be
13 resolved. Because if it's positive, then that knocks the
14 dose response curve orders of magnitude down further than
15 where it is now. Then it would change the whole
16 regulation.

17 So I think that issue should be mentioned.

18 CHAIRPERSON FROINES: I mean I think this is
19 almost an academic discussion, because hopefully
20 endosulfan will disappear in a few years. It's obviously
21 disappeared in most of the -- many countries in the world.
22 And so we're still in the sort of prehistoric period where
23 we keep thinking about standards, when Saudi Arabia has
24 banned it.

25 So that it may be that endosulfan isn't a high

1 priority chemical over time. But still given the
2 genotoxicity information seen mechanistically and -- I'm
3 just repeating myself, so I'll stop.

4 All right. Why don't we take a break and then
5 we'll go on with the rest of the presentation.

6 (Thereupon a recess was taken.)

7 CHAIRPERSON FROINES: I think Tobi and I have
8 talked through an issue, and so I'm comfortable. But I'm
9 sure that others aren't comfortable. So let's ask Marilyn
10 to hold for a second and just clarify -- Joe told me at
11 the break that the document that he saw was not -- and
12 correct me if I'm misstating this -- the document that he
13 saw was not the document that we have here. And so the
14 problem is: How can we evaluate a document that we have
15 here if there is another document? And Tobi said that
16 there really isn't another document. But Joe gave me the
17 impression that Marilyn was working on sections over the
18 weekend. So that if there are changes, we don't -- we
19 don't have that.

20 So we need to sort of figure out what is it.
21 Because we can't very easily evaluate a document for
22 which -- if there are sections missing or there is another
23 version even, which I doubt that it would be the stuff
24 that Marilyn was working on.

25 So is that a correct statement, Joe?

1 PANEL MEMBER LANDOLPH: Well, Marilyn very kindly
2 sent me a document which was nicely outlined in yellow.
3 And it looks different to me, because you mentioned you
4 were missing the first four pages, and they're all here
5 and the figures are here. It's very nice. And it's
6 outlined in yellow.

7 Do you have that?

8 CHAIRPERSON FROINES: No.

9 DPR STAFF TOXICOLOGIST SILVA: When we were
10 e-mailing on Sunday, what I was working on actually was my
11 presentation, not my document. But I did change -- or
12 make it a little more clear the statement about DPR's
13 recommendation for consideration of endosulfan listing as
14 a TAC since I was working with Joe on those. And then I
15 made a few very small changes in the endocrine disrupter
16 area. But there's nothing major. I mean it's not like a
17 whole new section or a whole new major anything. I was
18 working on my presentation over the weekend.

19 CHAIRPERSON FROINES: So what I hear you saying
20 is there are some small changes around a couple of
21 subjects, but basically the document we have is the
22 complete document?

23 DPR STAFF TOXICOLOGIST SILVA: Yes.

24 PANEL MEMBER BLANC: How is that possible?

25 PANEL MEMBER LANDOLPH: You're missing the first

1 three pages still.

2 CHAIRPERSON FROINES: We're missing the first
3 three pages. And we have the metabolism in page 40 or
4 something.

5 PANEL MEMBER HAMMOND: I downloaded that document
6 you have there, John, from the web.

7 Does the web -- is the web version that was up
8 yesterday, is that the latest version?

9 DPR STAFF TOXICOLOGIST SILVA: (Nods head.)

10 PANEL MEMBER HAMMOND: But that's different than
11 the version that was mailed to us; is that correct?

12 DPR STAFF TOXICOLOGIST SILVA: No, that's the
13 same version you got.

14 PANEL MEMBER HAMMOND: In the mail?

15 DPR STAFF TOXICOLOGIST SILVA: Yes.

16 PANEL MEMBER HAMMOND: Okay.

17 DPR STAFF TOXICOLOGIST SILVA: The issue was --

18 PANEL MEMBER HAMMOND: That does have pages 1, 2,
19 and 3, but --

20 DPR STAFF TOXICOLOGIST SILVA: The issue -- I
21 don't know. Well, it should have everything.

22 CHAIRPERSON FROINES: Yeah, it does.

23 PANEL MEMBER HAMMOND: So what was put on the web
24 was identical to what was mailed to us?

25 CHAIRPERSON FROINES: No.

1 PANEL MEMBER HAMMOND: That's what I'm trying to
2 find out.

3 CHAIRPERSON FROINES: Because this is your
4 document --

5 PANEL MEMBER HAMMOND: She's saying yes.

6 CHAIRPERSON FROINES: -- that was downloaded.
7 And this has the metabolism on page 3, as you point out up
8 here. And that's not the case in the document that was
9 mailed to us.

10 PANEL MEMBER BLANC: Well, somebody must have
11 been in charge of mailing the document. This is not
12 something that I think is fair to our panel to have to
13 spend 15 minutes figuring out. There's got to be somebody
14 at the Department of Pesticide Regulation who mailed the
15 document and who knows whether what they mailed was the
16 final version or not, and somebody else who put out the
17 document on the web. This should be easy enough to figure
18 out.

19 And I have to say that I'm not amused if in fact
20 the case is that there is a final document on the web,
21 that that's not what we were sent by mail.

22 DPR STAFF TOXICOLOGIST SILVA: The final document
23 on the web as far as I know is what you received.

24 CHAIRPERSON FROINES: Well, the final document on
25 the web --

1 PANEL MEMBER HAMMOND: We couldn't hear you.

2 Could you speak in the microphone.

3 CHAIRPERSON FROINES: -- is different than the
4 document we were mailed.

5 DPR ASSISTANT DIRECTOR JONES: This is Tobi
6 Jones.

7 And I'd have to point to another colleague with
8 regard to Paul's particular point. The document that you
9 received is missing the first three pages of Volume 1. I
10 apologize for that. I can't explain how that happened.

11 The document you received on paper does not have
12 the Executive Summary that is on the web. And those are
13 the differences.

14 So I apologize for the error, and we'll --

15 CHAIRPERSON FROINES: And some -- she said
16 endocrine disruption discussion and what else?

17 DPR ASSISTANT DIRECTOR JONES: Well, I think in
18 not knowing whether or not we would be making
19 presentations today, I believe Marilyn was trying to
20 prepare for issues that the Panel may have. And she was
21 also in dialogue with Dr. Landolph over his issues.

22 CHAIRPERSON FROINES: I was about to say I think
23 we can proceed. But Joe is going through some comparison,
24 so I'll hold for just a second.

25 PANEL MEMBER LANDOLPH: That's okay. Page 20

1 there's an extra statement about bystanders that was in
2 the new document that was not in the one sent earlier.

3 PANEL MEMBER HAMMOND: Joe, can you use the mike.

4 PANEL MEMBER LANDOLPH: Yeah. On page 20 there's
5 an extra statement about bystanders that was in the
6 version you and I pulled that Marilyn sent us that was not
7 in the old document.

8 PANEL MEMBER BYUS: I'm now very confused.

9 (Laughter.)

10 PANEL MEMBER BYUS: Not that I wasn't earlier.

11 But particularly about the Executive Summary,
12 what Executive Summary are we looking at here that was
13 handed out to us this morning?

14 CHAIRPERSON FROINES: The one that was given to
15 us today.

16 PANEL MEMBER BYUS: Right. So that is the
17 Executive Summary that is in the web and was mailed to
18 us --

19 DPR STAFF TOXICOLOGIST SILVA: It was not mailed
20 to you.

21 PANEL MEMBER BYUS: It was not mailed. All
22 right.

23 So this is the first time we've seen it. It's
24 here today. And this is the one -- so this is the
25 Executive Summary as you intend to publish it or add it to

1 the document; is that correct?

2 CHAIRPERSON FROINES: Yes.

3 PANEL MEMBER HAMMOND: And this has been on the
4 web for how long? Has this been on the web?

5 DPR STAFF TOXICOLOGIST SILVA: I'm not really
6 sure how long it's been on the web.

7 PANEL MEMBER BLANC: Well, John, as a process,
8 again consistent with our earlier discussion, what I would
9 suggest is that we hear out the remainder of the comments,
10 in particular what I suspect is a major potential issue
11 related to the difference between OEHHA and DPR, and then
12 we look at the constellation of issues to try to get at
13 the point that you wanted us to keep in the back of our
14 mind as to how it is best to proceed today.

15 CHAIRPERSON FROINES: Yeah, I have a slightly
16 different view of that now. But we'll talk about it
17 later.

18 So, Marilyn, why don't you -- hearing no
19 objection to what Paul said, we'll follow that, and -- so
20 let's go ahead.

21 DPR STAFF TOXICOLOGIST SILVA: Okay. So then the
22 next section that I worked on was the lack of support for
23 additional safety factors for infants and children. And I
24 have these pages listed and I also have a presentation.

25 And then finally DPR's statement about

1 recommending endosulfan for listing as a toxic air
2 contaminant note that since both the bystander scenarios
3 have MOEs of less than a thousand, DPR recommends that
4 endosulfan be listed as a potential toxic air contaminant.

5 And I also did change the -- instead of just
6 writing TAC 2002, which is the act, I put it -- I changed
7 the reference to the California Food and Ag Code. And
8 that was done -- the Food and Ag Code was done since you
9 got your draft.

10 CHAIRPERSON FROINES: And OEHHA agrees with the
11 endpoint? That was my recollection.

12 DPR STAFF TOXICOLOGIST SILVA: Yes, there's no
13 question about the endpoint. It's just the safety factor.

14 CHAIRPERSON FROINES: Are there differences of
15 opinion between the two agencies on the safety factor
16 issue?

17 DPR STAFF TOXICOLOGIST SILVA: Yes. And I don't
18 know if everyone got the OEHHA findings, but I have a
19 presentation about our interpretation.

20 CHAIRPERSON FROINES: Melanie or George or Andy,
21 somebody -- why don't you go ahead and give your
22 perspective and then OEHHA can respond.

23 We need the attention of the lead at least, and
24 Dr. Blanc would be helpful too. And we can look at
25 document problems later.

1 --o0o--

2 DPR STAFF TOXICOLOGIST SILVA: Okay. I wanted to
3 summarize or mainly discuss the lack of support for
4 additional uncertainty for factors for young animals due
5 to possible increased sensitivity.

6 CHAIRPERSON FROINES: Could you put the mike a
7 little closer. I'm sorry.

8 DPR STAFF TOXICOLOGIST SILVA: Specifically with
9 regard to the subchronic inhalation NOEL, as a review here
10 are the definitive studies selected for the critical NOELs
11 for each scenario.

12 And you can see that for the -- the acute rabbit
13 developmental we're using as the dietary, the subchronic
14 rat reproduction with a NOEL of 1.18 for systemic effects
15 we're using for the subchronic oral, and for the chronic
16 we're using the one-year dog with a NOEL of 0.57 based on
17 neurotoxicity. For the acute and the subchronic we're
18 using the 21-day inhalation with a NOEL of 0.194. And for
19 the chronic we're using a conversion factor, an extra
20 uncertainty factor of 10 to make a NOEL of 0.194.

21 CHAIRPERSON FROINES: Could you go back for just
22 a second.

23 DPR STAFF TOXICOLOGIST SILVA: Oh, sure.

24 CHAIRPERSON FROINES: I don't quite understand
25 the uncertainty sub for chronic ratios.

1 DPR STAFF TOXICOLOGIST SILVA: To get an
2 equivalent or an estimated chronic NOEL, you divide it by
3 10 to -- what is the word? -- extrapolate from subchronic
4 to chronic.

5 CHAIRPERSON FROINES: And So your total
6 uncertainty factor's a thousand?

7 DPR STAFF TOXICOLOGIST SILVA: Right. Yes.

8 CHAIRPERSON FROINES: Okay. Thank you.

9 --o0o--

10 DPR STAFF TOXICOLOGIST SILVA: I would like to
11 show that there's sufficient evidence based on available
12 toxicity studies to show that no additional uncertainty
13 factors needed to address neurotoxic or reproductive
14 effect concerns in young animals.

15 --o0o--

16 DPR STAFF TOXICOLOGIST SILVA: Comparison of
17 subchronic neurotoxicity NOELs in young rats. Mainly
18 we'll start with the neurotoxicity issue. For the
19 developmental neurotoxicity, which was the one that we've
20 been waiting for, the animals were treated from gestation
21 day 6 through lactation date. Thirty dams per dose were
22 used and ten pups per sex per dose were assayed and
23 observed postnatal day 21 and 75 for the neurotoxicity
24 battery.

25 The NOEL for that study, and it was a dietary

1 study, was greater than 29.8, the highest dose tested.

2 An IP study was used. And it was suggested by
3 OEHHA that the IP would suffice in lieu of an inhalation
4 study.

5 In these next two studies, males were treated
6 from day 1 of birth to two, three, and five weeks, with
7 eight animals per dose. And there were some neurotoxicity
8 effects at one milligram per kilogram per day with a NOEL
9 of 0.5.

10 Another study -- and these are both IP studies --
11 animals were treated day 1 postnatally, both sexes, the
12 sex was not distinguished in the study, for three to five
13 weeks with an eight-day recovery. And there were four
14 pups per sex per dose. They were -- there were effects at
15 1 milligram per kilogram per day with a NOEL of 0.5.

16 And the adult inhalation NOEL for the -- the
17 adult inhalation NOEL is actually lower than that of the
18 young animals' subchronic inhalation NOEL of 0.194.

19 --o0o--

20 DPR STAFF TOXICOLOGIST SILVA: The weight of
21 evidence indicates there's no increased sensitivity in
22 fetuses, neonates, or pups of either sex. Endosulfan also
23 has no effect on fertility.

24 The yellow studies -- or these -- actually it
25 turns out green here -- are studies from the Industrial

1 Toxicology Lab Center in India and from the open
2 literature. These studies here are all FIFRA guideline
3 studies. And this one here is a study from China. The
4 green here shows the lack -- a weight of evidence for the
5 lack of repro or fertility effects.

6 If you look at the NOELs in this column, you'll
7 see Sinha, et al., here with a LOEL of 2.5. And this is
8 flagging a possible effect in the animals.

9 This was performed on three-week-old weanling
10 pups, that were observed for 90 days, by gavage. And
11 there were five pups per dose.

12 In the next study with a lower LOEL, the animals
13 were treated from gestation day 12 through birth. And
14 they were cross-fostered until day 21 -- postnatal day 21.
15 But in this study, there were only three dams treated.
16 And the dams were the treatment unit, and yet the pup data
17 were reported individually and not on a per-litter basis.
18 So the effect that we're seeing, it's not known if that's
19 occurring in only one litter. So that study is in
20 question.

21 In this study there's no effect on testes
22 weights. In this study testes weights are decreased.

23 Another study by Dalsenter, et al., treated
24 animals from gestation day 15 through day 22, with
25 observations through postnatal day 65 and 140.

1 And in this there were slightly higher treatment
2 groups. And at postnatal day 65 there were effects seen.
3 So the LOEL was 1.5. But there were no longer effects by
4 day 140. So the NOEL was greater than 1.5. And in this
5 study, the testes weights were slightly increased.

6 CHAIRPERSON FROINES: Why is the NOEL greater
7 than 1.5?

8 DPR STAFF TOXICOLOGIST SILVA: That was the
9 highest dose tested, I think. Well, maybe I'm --

10 CHAIRPERSON FROINES: I thought you said there
11 was -- that that was a LOEL --

12 DPR STAFF TOXICOLOGIST SILVA: Well, at day 65
13 that was the LOEL because effects were seen on day 65.
14 But by day 140 no effects were seen.

15 PANEL MEMBER BLANC: No reproductive effects?

16 DPR STAFF TOXICOLOGIST SILVA: Right, no
17 reproductive effects.

18 PANEL MEMBER BLANC: And by reproductive effects,
19 you mean decreased litter size or something?

20 DPR STAFF TOXICOLOGIST SILVA: No, I'm talking
21 about sperm counts, sperm motility, morphology, testes
22 weights, prostate weight.

23 PANEL MEMBER BLANC: I see.

24 Well, can I just ask a small theoretical question
25 or public policy question. I'm not sure what it would be.

1 But if you have a period of time when there are
2 reproductive effects, then does it matter that at some
3 later period of time there aren't reproductive effects? I
4 mean if there is a period in which there are reproductive
5 effects, then it's reproductive toxic, isn't it?

6 DPR STAFF TOXICOLOGIST SILVA: Well, the thing is
7 that you want to see what the bottom line is as far as
8 effects that -- the question is: Is effects that occur
9 in -- during gestation and perinatally, are they going to
10 be manifest in the adult animals?

11 PANEL MEMBER BLANC: I see. Okay.

12 DPR STAFF TOXICOLOGIST SILVA: And so I think
13 that this is very important.

14 Now, the same lab did a study where they treated
15 21 days pre-mating using eight pups per dose -- eight male
16 pups. When I'm talking about pups, it's male pups. And
17 they found no -- no repro effects at greater than 1.5,
18 which is the highest dose tested. And the NOEL for the
19 study was greater than 1.5.

20 And with Nye, this was the study that I selected
21 for my oral endpoint study for acute -- my acute NOEL.
22 And while there were neurotoxic effects in the dams at
23 0.7, there were no effects in the pups or the fetuses at
24 greater than 1.5. And this was the highest dose tested.
25 And they used 26 dams for per dose.

1 Fung was -- the Fung study was Sprague-Dawley
2 rats. And those animals were treated from gestation day 6
3 through 19. And they had 28 dams per dose. And fetal --
4 and dam effects were seen at 6, which is a very high dose,
5 and it was very toxic to the dams. And the fetal and dam
6 NOEL was both 2.

7 In the Edwards study, which is our two
8 generation -- well, this study would be the one that would
9 show if there were effects occurring prenatally, during
10 gestation, lactation, and pubertal. It would be
11 manifested in this study, because this study -- there were
12 two generations with two litters per generation. And
13 there were 28 to 30 per sex per dose per generation. And
14 there were no repro effects at the highest dose tested in
15 either sex where the NOEL was 1.18 based on systemic
16 effects.

17 --o0o--

18 DPR STAFF TOXICOLOGIST SILVA: And Gilmore was
19 the developmental neurotoxicity study. And this was also
20 a diet study. Twenty-three litters. And since this was
21 mainly meant to be a neurotoxicity study, that was the
22 main endpoint.

23 But since -- in this reproduction study, since it
24 was an old study, they did not look at sperm motility,
25 morphology, or sperm count. And this was all done in

1 this -- in the Gilmore study to make up for that.

2 So in that study there were no repro effects at
3 29.8, which is the highest dose tested. And the pup LOEL,
4 however, was based on body weight of approximately 5
5 percent at less than 3.74, which is the lowest dose
6 tested.

7 The paper by Zhu treated the animals through
8 gestation to postnatal day 28 using ten males per dose,
9 and -- or examining ten males per dose. And there were no
10 repro effects at all at 2.5 -- greater than 2.5, which was
11 the highest dose tested. And I would like to compare that
12 to our acute and subchronic inhalation NOEL of 0.194.

13 --o0o--

14 CHAIRPERSON FROINES: Well, I want to make a
15 comment -- go back now. I want to make a comment.

16 The one thing that's clear about reproductive
17 and -- but particularly both developmental and
18 reproductive studies in the literature is that there are
19 enormous strain differences in outcome. And that I could
20 show you tables where people compared strains for
21 various -- various chemicals, and what you see is -- in
22 some strains you get zero and some strains you get high
23 percentages and so on and so forth. So that there is a
24 strain issue here, I think. It looks to me like the
25 Wistar rat is less susceptible than the Druckrey rat. And

1 so that you have a potential issue of these studies here
2 are giving you lower values; and then when you get to the
3 Wistar rats, you get -- with the exception of this issue
4 that Paul raised, you get relatively high numbers.

5 And so for -- the problem with weight of evidence
6 is that you -- if you weight every strain the same, you're
7 not really addressing the differences that occur among
8 strains. And so you can't -- you can't take -- it's like
9 taking a mouse and a rat and saying that you should see
10 similar results in both. Obviously there are interspecies
11 and intra-species issues.

12 Are these all industry studies here?

13 DPR STAFF TOXICOLOGIST SILVA: Yes.

14 CHAIRPERSON FROINES: So these are industry
15 studies and these are academic studies?

16 DPR STAFF TOXICOLOGIST SILVA: Right, including
17 the bottom one.

18 CHAIRPERSON FROINES: Yeah. So this gives
19 you -- this gives you a NOEL of .1 milligram per kilogram
20 per day?

21 DPR STAFF TOXICOLOGIST SILVA: A LOEL with three
22 dams. But, as I said, we don't know if all the effects
23 were occurring in one litter because it was not reported
24 on a per-litter basis. All the studies in blue individual
25 data were available.

1 CHAIRPERSON FROINES: Well, let me say that I'm
2 not necessarily surprised that your -- that this pattern
3 is occurring. And I think one has to be careful about
4 this interpretation, because, yes, there's a problem
5 perhaps with the -- with the numbers, but it's not -- but
6 one still has to look at positive studies.

7 Paul.

8 PANEL MEMBER BLANC: Can I -- Paul Blanc here. I
9 just want to clarify something.

10 You were showing these data, if I understood it
11 correctly, in order to assess whether or not the factor of
12 100 was reasonable also to use for reproductive and
13 neurotoxic effects, or whether there was any evidence that
14 neurotoxic and reproductive effects were even more
15 sensitive and therefore a safety factor might have to be a
16 thousand and not a hundred.

17 DPR STAFF TOXICOLOGIST SILVA: No, that's -- I
18 think that you're referring to the FQPA. That's relating
19 to dietary. And this is strictly having to do with
20 OEHHA's issues about the inhalation.

21 PANEL MEMBER BLANC: And can you again clarify
22 for me then. The point that you're trying to make with
23 your analysis of these studies is whether or not the
24 inhalation NOEL was sufficiently conservative or not?

25 DPR STAFF TOXICOLOGIST SILVA: Yes.

1 PANEL MEMBER BLANC: And in order to support that
2 argument you were trying to show that the NOELs that you
3 would arrive at with these studies were not substantively
4 lower; is that correct?

5 DPR STAFF TOXICOLOGIST SILVA: Right, that
6 the -- that even if you did take a factor of 3, and often
7 10 even, you're still well within protective doses for our
8 inhalation NOEL that we've selected.

9 PANEL MEMBER BLANC: And the inhalation NOEL of
10 .194 already has built into it a factor of 100 --

11 DPR STAFF TOXICOLOGIST SILVA: Yes.

12 PANEL MEMBER BLANC: -- from animal data, is that
13 correct, because you're going from species and then to a
14 more sensitive subgroup within --

15 DPR STAFF TOXICOLOGIST SILVA: That's right.

16 PANEL MEMBER BLANC: Is that right?

17 DPR STAFF TOXICOLOGIST SILVA: That's right.

18 PANEL MEMBER BLANC: So if -- to come back to
19 John's point then, I just want to make sure I understand
20 your reasoning. If you take the LOEL of one milligram per
21 kilogram per day, which is the LOEL on that species, and
22 you used a factor of 10 to get to an extrapolated NOEL, as
23 John indicated, that would be .1 milligram, which would be
24 slightly lower than the .194, but actually the .194 has a
25 factor -- already includes a factor of 100 going across

1 species which is not here, so you'd have to go down
2 another 10 from to .194 to .0194 -- I'm sorry -- to .01,
3 right, from .1 to -- would be the NOEL, and then across
4 species it would be .01; is that correct?

5 DPR STAFF TOXICOLOGIST SILVA: We would divide
6 0.194 by 100.

7 PANEL MEMBER BLANC: No, no. I mean does the one
8 milligram in the green --

9 DPR STAFF TOXICOLOGIST SILVA: To go -- that
10 would be a hundred also, interspecies, intra-species.

11 PANEL MEMBER BLANC: So it would be .001?

12 CHAIRPERSON FROINES: Yes.

13 PANEL MEMBER BLANC: And wouldn't .001 be
14 considerably less than .194 in milligrams per kilogram per
15 day?

16 DPR STAFF TOXICOLOGIST SILVA: Well, you would be
17 .00194.

18 PANEL MEMBER BLANC: No, I'm not talking about
19 the bottom here. I'm talking about comparing -- you're
20 comparing -- I just want to make sure I understood what
21 you were doing.

22 DPR STAFF TOXICOLOGIST SILVA: I don't understand
23 what your question is.

24 PANEL MEMBER BLANC: All right. Let me try to
25 clarify it again.

1 You presented these data in order to show -- in
2 order to address the point: Is the .194 from the
3 inhalation data sufficiently conservative enough?

4 DPR STAFF TOXICOLOGIST SILVA: Yes.

5 PANEL MEMBER BLANC: And so therefore you looked
6 at these data to see, "Well, if I look at these data, am I
7 having any signals that things would be more sensitive
8 using these other endpoints," is that correct?

9 DPR STAFF TOXICOLOGIST SILVA: Right.

10 PANEL MEMBER BLANC: Okay. So you have this LOEL
11 of one milligram per kilogram per day. But if you
12 converted that LOEL to be comparable to the .194, you'd
13 have to divide it by 100, right, because you'd have to --

14 CHAIRPERSON FROINES: A thousand.

15 PANEL MEMBER BLANC: -- a thousand because you'd
16 have to get first to a NOEL and then do the same
17 cross-species division that led you to the .194. And
18 wouldn't that give you a value that was considerably
19 lower? And so if the point is that there is no signal
20 here -- did I miss something or is my question still too
21 confusing?

22 DPR STAFF TOXICOLOGIST SILVA: Yeah, I still
23 don't understand.

24 PANEL MEMBER BLANC: Is there someone who can
25 help?

1 CHAIRPERSON FROINES: I can. If you took that
2 study that -- the Sinha study, you would end up with a
3 NOEL of -- you would end up with a value of .001.

4 PANEL MEMBER HAMMOND: And would that account for
5 the dietary versus inhalation as well? Because there's
6 evidence that inhalation is effective at a lower
7 concentration -- lower dose.

8 DPR STAFF TOXICOLOGIST SILVA: Yeah, I think you
9 are misunderstanding.

10 PANEL MEMBER BLANC: Okay. So can you clarify
11 for me.

12 DPR STAFF TOXICOLOGIST SILVA: Because you take
13 the NOEL and divide it --

14 PANEL MEMBER HAMMOND: No, a LOEL.

15 DPR SENIOR TOXICOLOGIST FRANK: This is Joe Frank
16 again from DPR.

17 Actually with the LOEL, you were correct. When
18 you do an adjustment to an adjusted NOEL, you would do a
19 factor of 10. So that would be .1. That is actually what
20 you compared to the NOEL down here on inhalation, because
21 when you put in the other factors, the species-to-species
22 variability, all of those are done to the NOELs. So the
23 two comparisons -- if you really want to compare that
24 study on top to the inhalation study, you just compare the
25 NOELs. And the NOEL on the second study down would be .1.

1 CHAIRPERSON FROINES: So we're talking about a
2 comparison of .1 to --

3 DPR SENIOR TOXICOLOGIST FRANK: -- .194.

4 CHAIRPERSON FROINES: -- to .194.

5 DPR SENIOR TOXICOLOGIST FRANK: Yes, sir.

6 PANEL MEMBER HAMMOND: What about the other data?

7 I may have misunderstood the other data, that in other
8 places there was evidence that inhalation was -- a lower
9 dose of inhalation would achieve the same effect as a
10 dietary dose? And this would run counter to that in that
11 case.

12 DPR STAFF TOXICOLOGIST SILVA: Well some -- why
13 would that -- oh, well, the pups once again have
14 higher -- I don't understand your question.

15 PANEL MEMBER HAMMOND: I thought I had read in
16 this material that you had said -- and I may have gotten
17 this wrong -- that for the same dose given in a dietary
18 manner and at the same dose given by inhalation, that the
19 inhalation dose was much more effective because of the
20 first pass.

21 DPR STAFF TOXICOLOGIST SILVA: Well, even if you
22 had -- even if you took 50 percent by the first pass of
23 the liver or whatever, you're going to get .25 by this IP
24 study and even much higher. So the .194 is --

25 PANEL MEMBER HAMMOND: Is this a -- I'm

1 forgetting now. Is this a study that was done by
2 inhalation or a study that was done by diet and adjusted
3 for inhalation?

4 DPR STAFF TOXICOLOGIST SILVA: The first study
5 was done by diet and the second study was done by IP, the
6 second two studies.

7 PANEL MEMBER HAMMOND: And then you adjusted it
8 for inhalation?

9 DPR STAFF TOXICOLOGIST SILVA: Well, I didn't
10 make any adjustment, because there are some studies that
11 are -- that are performed by IP where inhalation can't be
12 done. So often times it's a substitute.

13 CHAIRPERSON FROINES: I have some problem
14 with -- what is "in lieu of inhalation" meaning?

15 DPR STAFF TOXICOLOGIST SILVA: Well, in place of
16 the lack of an inhalation study there's the IP subchronic
17 study.

18 CHAIRPERSON FROINES: Yeah. I would assume that
19 an inhalation study would give you a greater internal
20 dose.

21 DPR STAFF TOXICOLOGIST SILVA: Well, I agree. I
22 agree, especially since IP goes right in to the portal
23 circulatory system.

24 CHAIRPERSON FROINES: Right.

25 DPR STAFF TOXICOLOGIST SILVA: But this is what

1 we have, and this was one of the studies OEHHA used for an
2 example.

3 Another thing about this study is that the LOEL
4 was 1 for the pups and compared in their document to 3 in
5 the adult as a 1-to-3 ratio for more sensitivity to pups.
6 However, in that study, the dams -- the adults, males and
7 females, were only treated at 3 on a subchronic basis.
8 They were not treated at any lower doses. So it's not
9 really a comparison of like doses for like treatment
10 times.

11 CHAIRPERSON FROINES: So can we go ask George and
12 colleague.

13 OEHHA DEPUTY DIRECTOR ALEXEEFF: Hello. This is
14 George Alexeeff from OEHHA. And with me is Dr. Charles
15 Vidair, who is our lead toxicologist in our Pesticide and
16 Food Toxicology section.

17 And we also looked at this -- the whole data set
18 very carefully. And I'll just give you a couple points
19 and Dr. Vidair can show a couple of slides summarizing
20 some of our issues here.

21 We've tried to lay it out in our revised findings
22 what the issues were. It comes down to issues of we feel
23 there's still is uncertainty with regards to
24 pharmacokinetics and reproductive toxicity.

25 If I can step back a little bit further. In 2001

1 we brought our prioritization document prioritizing
2 chemicals for protection of infants and children. And in
3 that, we identified those areas are the types of studies
4 that we felt infants and children are likely to be more
5 sensitive.

6 And it included, you know, developmental
7 toxicity, and neurotoxicity, endocrine disruption,
8 immunotox, respiratory, genotox, and carcinogenicity.
9 Those were studies that we kind of identified as things
10 that, if we saw those studies, then we would think that
11 it's possible infants and children might be more sensitive
12 than adult animals.

13 So we were trying to look at this whole data set
14 from that mind set, because -- so when we say -- another
15 statement is when we look at all the reproductive toxicity
16 and developmental toxicity data, we see the pattern of
17 information is, when you look at the studies carefully, is
18 what you'd expect. Because the studies that are negative,
19 we feel they're negative because of differences of timing
20 of when the analyzed or what they looked for. So we feel
21 some of the studies they didn't find things is because
22 they didn't look at the studies that found effects. So we
23 think that the studies are consistent and not
24 contradictory when we looked at in detail.

25 But in general, going back to the inhalation

1 studies versus the -- there's a few inhalation studies
2 short term. They're not developmental or sort of more
3 extensive kind of studies. But the short-term studies
4 show us that the inhalation exposure comes up with a lower
5 LOEL than the oral exposures. So from a pharmacokinetic
6 situation -- see, endosulfan is basically primarily
7 excreted by biliary excretion. So you're looking in the
8 feces and you're seeing -- it looks like a lot is
9 absorbed, 85, 80 percent. But the question is: Does it
10 really go systemically? And we don't really know the
11 answer. But it appears to us that it's going out through
12 biliary excretion and that's why inhalation exposure's a
13 little bit more sensitive than oral exposure.

14 Almost all of our basis for the NOELs are oral
15 studies. So we have some concern that maybe inhalation
16 studies, if we had them, would have a lower LOEL. So
17 that's one reason we're suggesting an additional --

18 CHAIRPERSON FROINES: I think that you could --
19 from a pharmacokinetic standpoint you can argue that the
20 inhalation is going to produce more chemical into the
21 central nervous system right away than an IP study. And
22 so your brain dose is going to be higher, I think.

23 OEHHA DEPUTY DIRECTOR ALEXEEFF: Well, the IP
24 studies are okay because the IPs don't have the first pass
25 effect. It's the oral studies, the dietary studies.

1 So that's kind of like one issue. The other
2 issue is, there happened in particularly some of these,
3 let's see, you called them university studies or whatever
4 they were, they did show male reproductive effects. And
5 that was of concern to us, and that's an uncertainty for
6 us. We don't feel that the subsequent studies, even the
7 most recent study, 2006, negates our concern for the
8 earlier studies, because of the way -- the study design,
9 just kind of in general.

10 And Dr. Vidair has some slides he can show.

11 CHAIRPERSON FROINES: Could we go on to the next
12 slide.

13 DPR STAFF TOXICOLOGIST SILVA: Yes.

14 CHAIRPERSON FROINES: George, are you talking
15 about the studies at the top of this? Are these the
16 studies that you're talking about?

17 DR. VIDAIR: Yeah. My name is Charles Vidair.
18 I'm from OEHHA.

19 Yeah, some of the studies that I'm going to talk
20 about -- I have three, four slides that I think --

21 CHAIRPERSON FROINES: Yeah, please. Okay.

22 DR. VIDAIR: -- show what we want to say pretty
23 briefly.

24 CHAIRPERSON FROINES: Go ahead.

25 DR. VIDAIR: How could I get this computer to

1 project on the screen?

2 CHAIRPERSON FROINES: Just plug -- where's the
3 projector?

4 DR. VIDAIR: Dr. Froines, the question you just
5 asked George about the inhalation being more sensitive.
6 Well, the problem we see is that a lot of the -- all the
7 developmental and repro studies were not done by
8 inhalation. They were done by oral. So that may be why
9 they're giving us higher NOELs and LOELs. And if they had
10 been done by inhalation, which is what we're concerned
11 with here, inhalation exposures, they may have been lower.

12 And let me show --

13 CHAIRPERSON FROINES: That's exactly what I was
14 saying. And what I was saying is what you just said. I
15 mean I -- the IP studies are -- I think if we looked at
16 the pharmacokinetics of inhalation versus IP, we know all
17 these arguments. We've been having them for years. And
18 so my sense is that you're going to get a greater dose to
19 the brain by inhalation and that's going to impact the
20 outcome.

21 DR. VIDAIR: So here are our rationale for adding
22 an uncertainty factor when calculating infant RfC's for
23 endosulfan. So this is an uncertainty factor of 3, which
24 we would use in addition to the interspecies of 10 and
25 inter-human variability of 10. We would add an additional

1 3 for the infant risk calculations.

2 There are three reasons: Pharmacokinetic
3 differences between oral and inhalation routes; number 2,
4 inadequate testing of young developing animals; and, 3,
5 increased sensitivity of young rats compared to adults.

6 --o0o--

7 DR. VIDAIR: The first one, the pharmacokinetic
8 differences between oral and inhalation.

9 After oral dosing for endosulfan, we find that
10 most excretions in the feces, there's low excretion in the
11 urine, and high accumulation in the bile.

12 After oral dosing, there are rapid kinetics of
13 endosulfan entering the liver and then going back into the
14 GI tract, suggesting a strong first pass effect in the
15 liver.

16 And, lastly, so the result we see is a
17 significantly larger amount of endosulfan may reach the
18 general circulation following inhalation compared to the
19 oral route.

20 PANEL MEMBER BYUS: I've got a question.

21 Is there any enterohepatic cycling in this?

22 DR. VIDAIR: No, there's not.

23 PANEL MEMBER BYUS: So there is none. Okay.

24 CHAIRPERSON FROINES: I have a question that goes
25 back to Joe and I arguing about DNA adducts and

1 genotoxicity.

2 You could think that a first pass effect if the
3 ultimate toxicant -- if the ultimate toxicant is a
4 metabolite, then the first pass effect could get you there
5 faster than inhalation.

6 DR. VIDAIR: Well, it seems a lot of this then
7 goes straight to the feces, into the -- back into the
8 GI -- it's dumped back into the GI tract through the bile
9 and then comes out in the feces. There's only -- in the
10 two studies that I'm familiar with on the pharmacokinetics
11 of endosulfan, only about 10 to 15 percent comes out in
12 the urine. The rest is coming out in the feces.

13 CHAIRPERSON FROINES: That's a good point.

14 PANEL MEMBER BLANC: So let me see if I
15 understand the difference in opinion between the
16 Department of Pesticide Regulation and OEHHA.

17 Department of Pesticide Regulation is suggesting
18 that based their review of the available data for effects
19 on young test animals that there's no differential
20 sensitivity for the outcomes that you looked at.

21 Whereas, OEHHA is suggesting that because of lack
22 of inhalational studies, of effects on animals that are in
23 utero or young or effects on reproductive -- prenatal
24 reproductive effects -- pre-conceptual reproductive
25 effects, that a correction factor of 3 would be advisable

1 for those high risk groups.

2 Is that correct? Is that the difference of
3 opinion over the factor of 3?

4 DR. VIDAIR: We have more reasons for proposing
5 this factor of 3, but that's one of the differences.

6 PANEL MEMBER BLANC: Yeah. But anyway, that's
7 what it come down to; is that correct? Did I understand
8 the difference of opinion?

9 CHAIRPERSON FROINES: Well, do you have more
10 slides?

11 DR. VIDAIR: We have more slides.

12 PANEL MEMBER HAMMOND: Wait, wait. Actually
13 Paul's point's important. I'm want to make sure I
14 understand it.

15 I thought the -- I may have misunderstood,
16 because you said something different than what -- I
17 thought you said the 3 came from young animals versus
18 adult as opposed to pre-birth in utero. Isn't it young
19 animals compared to adults is where the 3 comes from?

20 DR. VIDAIR: I would say developing animals
21 versus adults, yes. That's going to be last graph -- the
22 last table I'm going to show.

23 PANEL MEMBER HAMMOND: Okay. But that is
24 something different than what Paul -- I guess I'm trying
25 to figure whether my understanding or Paul's -- which of

1 us understands you or --

2 DR. VIDAIR: Well, there --

3 PANEL MEMBER HAMMOND: Go ahead. Okay, go ahead
4 and we'll go look at it.

5 --o0o--

6 DR. VIDAIR: So then I mentioned inadequate
7 testing of young animals. The rat reproductive study from
8 1984, that's, you know, Marilyn mentioned, very important
9 study, because this is multi-generational dosing of the
10 animals. But in 1984 it didn't include a lot of endpoints
11 that are required in today's guidelines, like sperm
12 numbers and some developmental landmarks like preputial
13 separation, some skeletal stains. And there are things
14 that weren't measured back then that are required in the
15 guidelines now. We see that as a problem which we would
16 address with -- partially with that uncertainty factor.

17 And then in order to address those problems,
18 there was this recent Gilmore study development, a
19 neurotoxicity study which included gestational and
20 lactational dosing of rat pups via the dam. But there was
21 no direct dosing of the wean pups from ages three to six
22 weeks. So we see this again as a shortcoming that we
23 would address.

24 --o0o--

25 CHAIRPERSON FROINES: I'm sorry. What does it

1 mean when you say this is "a shortcoming we would
2 address"?

3 PANEL MEMBER BLANC: Well, you would address with
4 a factor of 3 --

5 DR. VIDAIR: Yeah.

6 DPR STAFF TOXICOLOGIST SILVA: Could I make a
7 comment?

8 They didn't -- see, the three to six weeks and on
9 would have been addressed in the repro study and then gone
10 on.

11 CHAIRPERSON FROINES: Marilyn, put your mike up
12 like this.

13 DPR STAFF TOXICOLOGIST SILVA: The weaned --
14 okay, the age three to six weeks and further would have
15 been addressed in the repro study.

16 PANEL MEMBER HAMMOND: But that study did not
17 include gestational dosing, did it?

18 DPR STAFF TOXICOLOGIST SILVA: Yes.

19 PANEL MEMBER HAMMOND: It did?

20 DPR STAFF TOXICOLOGIST SILVA: That included
21 pre-mating, mating, gestation, lactation, pre-mating for
22 two generations with two litters per generation.

23 DR. VIDAIR: That's true. But it had things that
24 it didn't do that are required today, like sperm numbers
25 and developmental landmarks. So we think the '84 study,

1 you know, has some things that they didn't as a problem.
2 And they weren't -- and in the Gilmore study didn't
3 negate -- didn't make up for all those problems

4 DPR STAFF TOXICOLOGIST SILVA: The Gilmore study
5 did look at the -- the sperm effects we're pretty much the
6 issue at 21 and 75 days. That's --

7 PANEL MEMBER BLANC: Well, let me finish the
8 point then that I was moving towards with the issue of 3.

9 The data from the LOEL value of 1, which becomes
10 then we decided a value -- a NOEL value of .1, as compared
11 to our other operative LOEL value -- NOEL value of .194 is
12 essentially a factor of 2 of greater sensitivity of the
13 youngsters for the endpoint of weight loss, I guess it
14 was.

15 DPR STAFF TOXICOLOGIST SILVA: Right.

16 PANEL MEMBER BLANC: Is that -- would you agree
17 with that?

18 DPR STAFF TOXICOLOGIST SILVA: Right.

19 Can I also point out though in the Sinha in 2001,
20 where they only used three dams per dose, they also did
21 not treat the pups postnatally, and only observed them at
22 postnatal day 100. But at postnatal day 100 they looked
23 at those effects. And the other later studies they looked
24 at postnatal day 140 and there was no effects.

25 PANEL MEMBER BLANC: Right, I understand you have

1 negative studies. But you have this positive study that
2 would give you a value of .1 compared to .194, which is a
3 correctional factor of 2.

4 CHAIRPERSON FROINES: It actually would not,
5 because if you follow the OEHHA position as they stated
6 it, the uncertainty factor that they're proposing would be
7 3. So that would be a number of .33.

8 PANEL MEMBER BLANC: All I'm -- the point I'm
9 trying to make is that you already have evidence that it's
10 not absurd to use a correctional factor of 3 based on your
11 own data, at least in terms of this one study. So I'm
12 a --

13 DPR STAFF TOXICOLOGIST SILVA: Based on which
14 study?

15 PANEL MEMBER BLANC: The Sinha study.

16 CHAIRPERSON FROINES: So, Paul, can we go on and
17 let OEHHA finish, and then we can --

18 DR. VIDAIR: Yeah, just one more slide.

19 --o0o--

20 DR. VIDAIR: So this is -- these are all studies
21 discussed in the RCD TAC document. And there are three
22 comparisons here where the variable was age, comparing
23 pups to adults or young animals to the adults, to look for
24 differences in sensitivity to the endosulfan.

25 So the first two studies, the Zaidi study and the

1 Seth study are IP dosing. And the last comparison there,
2 the Sinha '97, are actually two different studies from the
3 same group, the '97 and '95. That was gavage dosing.

4 So we just simply compared the LOELs for these
5 effects. And these effects could be called developmental
6 neurotoxic effects, like serotonin binding in the brain
7 and fighting behavior.

8 And the last two -- the Sinha studies would be
9 male repro effects to the sperm.

10 So the difference between the young animals and
11 the adults in the first two IP studies is 3. The
12 difference in the last comparison is 2. So this we use as
13 a guide for what we would propose as an uncertainty factor
14 for the increase sensitivity of the young to endosulfan.

15 And we think that, you know, the pharmacokinetic
16 argument supports some type of uncertainty factor. And
17 the testing inadequacies support some type of uncertainty
18 factor. And this we just used as a guide in coming up
19 with a number.

20 DPR STAFF TOXICOLOGIST SILVA: I wanted to point
21 out that in that Seth study, as I said before, there was
22 not necessarily a 1 to 3 because there was nothing below 3
23 tested in the adults. And that was the study where 0.5
24 was the NOEL for pups. And even with, as they were
25 talking about, the first pass effect being 50 percent,

1 perhaps you would still have .25, so it's still a higher
2 NOEL than the inhalation NOEL that we're selecting.

3 DR. VIDAIR: Well, we don't know what the first
4 pass effect is, you know, in quantitative terms. It could
5 be greater, it would be less than. We don't know it. I'm
6 not sure why you say 50 percent. But that's really an --
7 we see that as an uncertainty in trying to understand how
8 to apply these developmental studies to an inhalation
9 exposure.

10 PANEL MEMBER BLANC: And can we have --

11 PANEL MEMBER BYUS: Yeah, I just might add on
12 that one regarding -- I mean in classic drug studies when
13 you have a drug that you give orally that exhibits a high
14 first pass effect, this is an indication of marked
15 variability across the population, including young people
16 and old people and diets. And there's unbelievable number
17 of things that can affect the first pass effect. And so
18 it gives you a much greater, broader range of dose
19 response effects among age and whatever. I mean it's
20 classic, just that fact alone.

21 So I see no reason why it wouldn't apply here as
22 well. If it exhibits a first pass effect, it's highly
23 cleared by the liver, that gives you a much greater
24 variability no matter -- any time you do any study,
25 because you can't control for all the variables. So I

1 mean it makes sense.

2 And so to my mind, it adds to this argument of
3 the additional 3, is what I'm saying anyway.

4 PANEL MEMBER BLANC: Can someone put for us in
5 context just for comparative purposes the current EP --
6 federal EPA guidelines on the additional factor of 3 for
7 childhood or reproductive effects? Do they have a policy
8 approach?

9 DPR STAFF TOXICOLOGIST SILVA: There's is a
10 case-by-case basis. They don't as far as I know have a
11 policy.

12 DR. VIDAIR: Do you mean for endosulfan
13 specifically?

14 PANEL MEMBER BLANC: No, I meant more
15 generically.

16 CHAIRPERSON FROINES: Do you have a generic --
17 you do have a generic approach?

18 OEHHA DEPUTY DIRECTOR ALEXEEFF: Yeah. This is
19 George Alexeeff. And, you know, in February we're going
20 to be bringing hopefully our first children's document
21 with reference levels, and it will spell out the numeric
22 approach that we're using. So what we're proposing
23 here -- or what we're saying is consistent with that, but
24 it's a little bit early because you haven't seen the
25 report yet.

1 So we do have an approach in mind and -- but in
2 this case we're just looking at the data. And our sort of
3 sense is that there still are some additional remaining
4 uncertainties which are not accounted for in the
5 traditional uncertainty factors used, and that's why we're
6 proposing that we would use an additional uncertainty
7 factor up to 3.

8 DPR STAFF TOXICOLOGIST SILVA: And our opinion is
9 that we are protecting for a neurotoxicity. And when we
10 protect for neurotoxicity, we'll be also more than
11 protecting for any kind of repro effects.

12 Can I just finish my slide here? I have --

13 CHAIRPERSON FROINES: Sure.

14 The Panel needs to think clearly about this
15 debate and decide on what recommendation we want to make.
16 As far as I'm concerned, we're talking about a chlorinated
17 pesticide that's one of the old organic pesticides. It's
18 been around for the dawn of time practically. And there
19 are probably 20 countries in the world that have banned
20 it, and for which there is no use whatsoever. And the
21 United States is still debating regulation. And we are
22 here today debating differences between .194 and 1 divided
23 by 3 -- which is what?

24 PANEL MEMBER BLANC: Well, I think .194 divided
25 by 3 is the point.

1 CHAIRPERSON FROINES: Yeah, .194 divided by 3.
2 And so we're talking about a factor -- we are debating a
3 factor of 3. Which the point I'm trying to make with my
4 bad math is that we've got to be careful about angel --
5 the number of angels dancing on the head of a pin, you
6 know. And so in my view the two -- my view would be that
7 the two agencies should meet to try and resolve this, if
8 at all possible, based on the recommendations that we make
9 out of this meeting today.

10 PANEL MEMBER ATKINSON: Point one sounds good.

11 PANEL MEMBER BLANC: Well, Let's see your last
12 slide.

13 --o0o--

14 DPR STAFF TOXICOLOGIST SILVA: I think the most
15 important thing here is that the highest endosulfan
16 exposures for infants and children is diet. Endosulfan is
17 rapidly metabolized and eliminated orally. In one to two
18 days it's virtually complete and by seven days 90 percent
19 in animal studies.

20 Subchronic inhalation -- the animals that were
21 treated in the subchronic study were four to six weeks at
22 initiation, which means they were very young,
23 post-weaning, adolescent, young adult. And there were no
24 effects on the male reproductive organs as far as gross or
25 histopathology. And in subchronic studies they look at

1 the prostate as well as the testes and the epididymis.
2 And there is no consistent or repeated evidence that young
3 males, whether fetal, neonatal, perinatal, weanlings, are
4 more sensitive to the effects in the reproductive tract or
5 for reproduction than are adults.

6 --o0o--

7 DPR STAFF TOXICOLOGIST SILVA: DPR is concerned
8 about protecting the health of fetuses and young children.
9 And the inhalation and oral NOELs selected are adequate to
10 protect for the most sensitive endpoint, which is
11 neurotoxicity, as well as for reproductive effects.

12 CHAIRPERSON FROINES: Thank you.

13 So what I would -- Tobi, what I would really like
14 to avoid is a letter from this Panel in which in the
15 letter we say there is a difference in protective levels
16 between the two agencies, and the panel feels whatever
17 they feel. In other words, I would really like to avoid
18 sending a letter forward to Mary-Ann that gets into this
19 little debate -- not little, but debate. And I don't
20 think it serves anybody's interests, you know, to have
21 that.

22 So I think we need to figure a way to make it go
23 away if it's at all possible. And so that's my sort of
24 policy view of it.

25 But, anyway, I'm prejudging. I don't -- I think

1 the Panel needs to discuss how they view what's been
2 presented.

3 And, Joe, you're the lead, so --

4 PANEL MEMBER LANDOLPH: Well, I mean I think both
5 sides presented reasonable arguments and they debated
6 vigorously. I have my own personal opinion, and I've been
7 struggling for a time to bring it up. And that was that
8 very nice section on illnesses that was written. That's
9 bothered me since the beginning of our discussion with
10 this chemical. So as far as I'm personally concerned,
11 because there were evidences of numbness and tingling and
12 other sensations, which are basically neurotoxic, I'm
13 delighted to grab for any excuse to make the standards
14 more conservative to protect public health.

15 So that's how I feel about it.

16 CHAIRPERSON FROINES: Paul.

17 PANEL MEMBER BLANC: Are we still waiting for the
18 presentation about the addition of the dietary intake
19 source? Or was there something -- is there something else
20 that's --

21 DPR STAFF TOXICOLOGIST SILVA: You were asking
22 about that table that --

23 PANEL MEMBER BLANC: I was referring really to
24 the OEHHA commentary.

25 Did I misread the OEHHA findings in which they

1 emphasized not simply this adjustment factor for the
2 infants? But wasn't there an issue about total source
3 exposure, or did I just completely misunderstand that?

4 Would someone help me out here.

5 OEHHA DEPUTY DIRECTOR ALEXEEFF: We don't have an
6 issue on that.

7 DPR STAFF TOXICOLOGIST SILVA: There's something
8 in a dietary where people --

9 PANEL MEMBER BLANC: Dietary --

10 DPR STAFF TOXICOLOGIST SILVA: -- 55 plus, that
11 was -- I think that -- I just saw that table.

12 PANEL MEMBER FRIEDMAN: Can't hear.

13 PANEL MEMBER BLANC: It's this issue about the --
14 let me see if I can tell you the points though.

15 OEHHA DEPUTY DIRECTOR ALEXEEFF: This is George
16 Alexeeff. Yeah, we didn't have a specific issue on the
17 total exposure question. We didn't raise that in our
18 findings.

19 PANEL MEMBER BLANC: Aggregate margins of
20 exposure, aggregate.

21 DR. VIDAIR: Well, we just reported what we read
22 in the RCD TAC. We don't have an issue with that.

23 PANEL MEMBER BLANC: Okay. So you don't disagree
24 with their approach?

25 DR. VIDAIR: That's correct.

1 PANEL MEMBER BLANC: I read it differently. So I
2 apologize.

3 So, therefore, the only outstanding difference of
4 opinion between the two agencies is the factor of 3; is
5 that correct?

6 DR. VIDAIR: Yes.

7 CHAIRPERSON FROINES: No.

8 (Laughter.)

9 CHAIRPERSON FROINES: We still need to resolve
10 the genotoxicity data issue.

11 DR. VIDAIR: Right.

12 PANEL MEMBER BLANC: That is a difference of
13 opinion between the two agencies as well, is that correct,
14 how you would characterize --

15 DPR STAFF TOXICOLOGIST SILVA: Whatever,
16 that's...

17 CHAIRPERSON FROINES: Because Joe and I -- Joe
18 had one position this morning and I suggested a
19 difference. So that we need to at least bring this
20 genotoxicity to closure, because Joe said he thought it
21 should be stated that the compound is genotoxic when he
22 spoke about it a few minutes ago. But at another time he
23 had agreed to the OEHHA language that I suggested a small
24 change. So you said two --

25 PANEL MEMBER LANDOLPH: Let me say what I said

1 rather than what you think I said --

2 (Laughter.)

3 PANEL MEMBER LANDOLPH: -- because I have been
4 consistent all along, that I think that this material is
5 genotoxic. And I pointed out in great detail my reasoning
6 why at the first meeting.

7 CHAIRPERSON FROINES: I understand all that.

8 PANEL MEMBER LANDOLPH: And I reiterated my
9 findings here. And I said I liked the OEHHA wording with
10 your suggestion that we just take out the word "some". It
11 says that it has -- although it's negative in some
12 studies, it has genotoxic effects, period. And I think
13 that's a balanced assessment. I could live with that.

14 And so that's my position. And it's been a
15 consistent position all the way through.

16 CHAIRPERSON FROINES: Good. Okay.

17 I didn't mean to say you were inconsistent. I
18 just wanted to clarify the issue.

19 PANEL MEMBER BYUS: So I'd like to chime in on
20 that too. I mean I know, Joe, you've been very consistent
21 saying that from the beginning. I agreed with you the
22 first time, I agree with you now. I agree with your
23 statement in your written review, which I will read. That
24 says, "The reviewer" -- meaning Joseph Landolph -- "is now
25 convinced that endosulfan is a genotoxic agent that can

1 cause chromosome aberrations, micronuclei, mitotic gene
2 conversion and reverse in yeast, inhibition of gap
3 junctional communication, as also a tumor promoter." And
4 I concur completely with those statements.

5 And I think it's not clear in the Executive
6 Summary -- I mean I don't know whether it was going to be
7 rewritten or not, but the statements that are in the
8 Executive Summary dealing with genotoxicity are, in just
9 partial quotes, "No evidence for oncogenicity was
10 observed, and, "There was inconclusive findings from
11 contradictory results of genotoxicity." I mean which does
12 not say that at all. So I mean I really find that sort of
13 a seriously deficient kind of statement.

14 And then back to the additional factor of 3. If
15 you believe that this is genotoxic or moderately genotoxic
16 or has genotoxicity, then you really don't need to know
17 any more than that to apply an additional developmental
18 child sensitivity or factor of 3 based on whatever NOEL
19 you choose from whatever mechanism. And so that's how I
20 would do it. I mean if it is genotoxic or if there's some
21 strong evidence that it is or reasonable evidence, then
22 that's all you really need to know.

23 And I think that -- but the additional factor is,
24 as I said before, because of its extreme first pass
25 effect, it's the likelihood of metabolism either

1 contributing to its genotoxicity or to its clearance.
2 And, again, you don't know. And you also have the alter
3 distribution of all the SIP enzymes -- P-450 enzymes among
4 neonates versus children versus adults. But there's such
5 a degree of uncertainty there, that I mean I think it'd be
6 a remiss if you didn't apply the additional factor of 3.

7 PANEL MEMBER LANDOLPH: And could I amplify that.
8 And it was a very nice statement you just made.

9 I've been concerned where we've had some MOEs of
10 1 or less than 1. I think it was the corn growers and the
11 harvesters or the aerial sprayers. You know, they're
12 neurotoxic symptoms. So in certain instances we're kind
13 of on the edge with this compound. To paraphrase from
14 Paul's comment earlier, an elephant in the room is if this
15 is genotoxic, then we're not talking about thresholds and
16 stuff like that. You know, in the future we could have
17 bigger problems with this. So I would urge conservatism,
18 because this chemical is a bad actor to begin with.

19 CHAIRPERSON FROINES: I strongly agree with you
20 on your statement about metabolism. I think that the data
21 in this document on metabolism -- it's not her fault --
22 but the literature on metabolism is so inadequate that you
23 can't make head or tails. I mean this compound's very
24 complex and is going to have multiple pathways depending
25 upon which enzymes. And so for all you know -- I'll say

1 it. For all you know, you know, it could lead to a
2 quinone.

3 (Laughter.)

4 CHAIRPERSON FROINES: That's a joke, Paul.

5 (Laughter.)

6 CHAIRPERSON FROINES: But the point is, to be
7 serious -- I'm not suggesting that because I don't
8 actually think it could happen because of its structure --
9 but the metabolism is really very, very limited. And so I
10 think that is an area of significant uncertainty.

11 Now, let me just say for your benefit, Craig, and
12 everybody's benefit, what Joe and I decided this morning
13 was that the document should contain the following
14 sentence: "Thus, while several standard assays were
15 negative, there is evidence that endosulfan is genotoxic."
16 That's what we -- now, if you think -- that's a slightly
17 modified OEHHA statement in which I took out the word
18 "some". So if you want it to be a stronger statement the
19 way you've articulated it, then that's the point of
20 discussion.

21 PANEL MEMBER HAMMOND: What do we normally do
22 given -- isn't there normally mixed genotoxic data? Isn't
23 that -- isn't it pretty rare that --

24 CHAIRPERSON FROINES: It's always --

25 PANEL MEMBER HAMMOND: -- all genotoxic tests

1 would be positive?

2 CHAIRPERSON FROINES: Right.

3 PANEL MEMBER HAMMOND: It's almost always mixed,
4 correct?

5 So is this a caveat that you're adding that you
6 wouldn't add for anything else, that although some were
7 negative? You wouldn't say that ordinarily, would you?

8 CHAIRPERSON FROINES: Well, that's a decision the
9 Panel needs to recommend.

10 PANEL MEMBER HAMMOND: Oh, let me -- I'm just
11 asking in a standard compound, 3,4,5-trimethyl chicken
12 wire, and you have mixed results, would you say, "although
13 some were negative"?

14 CHAIRPERSON FROINES: But, see, I want to keep
15 emphasizing the same point. The old EPA 100 in vitro
16 tests were bad, because when they did studies at NIHS and
17 NTP, they found that a lot of the tests were measuring the
18 same basic endpoints. And so they weren't really -- you
19 could take two tests and get the same results and you
20 hadn't learned anything. In other words it didn't
21 reinforce the outcome.

22 PANEL MEMBER HAMMOND: That's right. That's not
23 what I'm saying.

24 CHAIRPERSON FROINES: But what I'm saying
25 is -- what I'm saying here is the -- yes, you can have

1 negative results. But when you look at it from a
2 mechanistic standpoint, the fact that you have DNA adducts
3 and environmental health perspective studies that are much
4 more modern than the old '70s tests, then you have to say
5 that there is evidence for genotoxicity.

6 PANEL MEMBER BLANC: John, I think that part of
7 the discussion maybe is going off track a little bit
8 because we're mixing up different things. The question
9 isn't: What is the wording of the OEHHA commentary on the
10 DPR document? The question is: What will the ultimate
11 DPR document that comes to the Panel for our findings say
12 or not say? And I think that's the fundamental issue. So
13 when I think about that, the question from me is: Are
14 there points here which could be generalized? And were
15 they to be generalized, would they be generalized in a
16 direction I would be supportive of or not supportive of?

17 So for me, for example, the application of the
18 threefold factor adjustment, even though this will always
19 have to be done on a case-by-case basis: Am I anxious or
20 not anxious about a decision that could have implications
21 or precedent setting in terms of the Panel's general
22 approach and in terms of the Panel's consistency in
23 approach of dealing with chemicals whether they're brought
24 to us by OEHHA or they're brought to us by the Department
25 of Pesticide Regulation?

1 And it's always been the approach of the Panel in
2 my experience to be public health protective in its
3 thrust. And, therefore, in situations where data are
4 insufficient or not convincing, that one errs on the side
5 of taking that uncertainty into account.

6 Therefore, I think on the whole, the OEHHA input
7 is more convincing to me than the very difficult position
8 of having to argue the negative from imperfect data, which
9 is not the fault of the DPR, but the data has its
10 limitations.

11 DPR STAFF TOXICOLOGIST SILVA: But there's so
12 much more positive. I mean there's so much more data that
13 show that there isn't.

14 PANEL MEMBER BLANC: Well, I think that Craig's
15 point -- if you want to be consistent with our policy in
16 terms of childhood and infancy is that in our general
17 policy guidelines we actually have been approaching
18 genotoxicity -- evidence of genotoxicity as being another
19 factor that weighs on our decision in terms of being
20 protective for infants and children.

21 I fully agree with John that we also don't want
22 to be setting a precedent of getting in the midst of an
23 argument between OEHHA and the Department of Pesticide
24 Regulation. I would prefer that the final document come
25 to us worked out in advance.

1 I would say also that in terms of precedent, I
2 certainly am not willing to conclude a finding at this
3 meeting of the document, which came to us through no one's
4 specific fault in an incomplete form -- I want to point
5 out for the record that it not only was missing those
6 first three pages. But if you look, it is missing pages
7 193 through 196, the concluding four or five pages, in
8 which many things were summarized.

9 So I don't think that, even if we didn't have
10 these other problems, we would be able to have a tentative
11 adoption of findings today.

12 But I would echo John's comments and urge very
13 strongly that DPR recaucus with OEHHA and try to work this
14 out. Otherwise it may lead to an even more unfortunate
15 precedent.

16 CHAIRPERSON FROINES: So we've heard from Craig
17 and Kathy.

18 But I don't know if you were finished or not.

19 PANEL MEMBER HAMMOND: That's right.

20 CHAIRPERSON FROINES: You're finished?

21 PANEL MEMBER HAMMOND: Do you mean on this exact
22 issue or about -- the genotoxicity or do you mean overall?

23 CHAIRPERSON FROINES: Well, the discussion we've
24 been having right now is -- we were talking about the
25 threefold safety factor. But --

1 PANEL MEMBER HAMMOND: Well, I guess --

2 CHAIRPERSON FROINES: But then genotoxicity is
3 rearing its ugly head behind --

4 PANEL MEMBER HAMMOND: Yeah, when you asked me
5 that, I was finished.

6 If we're just talking about this whole picture, I
7 think that it is very important to resolve the
8 genotoxicity issue. I agree that we don't just count the
9 number of studies that are done and wherever the majority
10 rules. It's not that kind of thing with genotoxicity.
11 And one has to look at the data carefully and understand
12 the complexity of genotoxicity data. And having a few
13 positive endpoints is disturbing and needs to be noted and
14 seen in that manner.

15 In a similar way, I think the question of the
16 increased uncertainty I find rather compelling. And I
17 think -- but I do think it's most important that the two
18 agencies work that out. That would be ideal from the
19 State of California's point of view and the public
20 protection. So I would like to see that done.

21 CHAIRPERSON FROINES: Roger.

22 PANEL MEMBER ATKINSON: I have nothing to add.
23 But I certainly concur with statements made by Paul, Joe,
24 Craig, and you.

25 CHAIRPERSON FROINES: Charlie.

1 PANEL MEMBER PLOPPER: I don't really have much
2 data. I think that the preponderance of evidence for most
3 scientific studies is that fetuses and young children are
4 going to be more sensitive, more susceptible than adults
5 and that it really -- these days I think the evidence has
6 to be the other direction, that a strong proof that this
7 is not the case. And I think that Table 7 in OEHHA's
8 document pretty much makes a strong enough argument to
9 suggest that if you have to have the doubt, then it's
10 really a factor of 3 seems to be a strong doubt.

11 But I would also be strongly in favor of having
12 the two agencies work this issue out and come up with some
13 kind of an agreed statement, because it seems silly to
14 have to make this argument again on every one of these
15 compounds when there's still -- so far there's no real
16 evidence when it's looked at hard that there's -- there
17 should be any reason not to assume that this is the case.

18 CHAIRPERSON FROINES: Thank you.

19 Gary, you're --

20 PANEL MEMBER FRIEDMAN: I'm still not as alarmed
21 as everyone else is. So maybe I'm wrong about the
22 possibility that the two agencies disagree. I mean
23 there's often disagreement in scientific conclusions,
24 especially with incomplete evidence like they have. So if
25 they -- I think they should try to come to an agreement.

1 But if they can't, I think we can deal with that by
2 saying, you know, the difference is still -- both agree
3 that it's a toxic air contaminant, and we tend to prefer
4 the health conservative approach with the additional
5 uncertainty.

6 I would like to have people tell me why it's so
7 important that they agree. I think I'm missing that.

8 CHAIRPERSON FROINES: Well, I think that it's --
9 the issue isn't one of disagreement between agencies.
10 That's the outcome. But I think that the -- there have
11 been issues raised about the genotoxicity as a factor of
12 uncertainty. The metabolism was a factor of uncertainty.
13 The inhalation versus IP is a factor of uncertainty.
14 And geno -- what'd I say? -- genotoxicity, metabolism, IP
15 versus inhalation, other pharmacokinetic issues. And
16 I'm -- and a couple of the studies that were shown.

17 So it seems to me that there is this general
18 question that I think Charlie said perfectly, which is:
19 Do we have -- do we have not a belief but at least a sense
20 that there is the potential for children -- I mean
21 non-adults having greater susceptibility? And I think
22 what's been said here is that there is a generic belief
23 that that is possibly true.

24 And so given all those factors and given that we
25 want to be consistent, we would think that the outcome is

1 in fact that the threefold safety factor should be
2 incorporated. And so that's the position we're taking.
3 We're saying it would be better in the -- for sending off
4 to the Director if we could get agreement. But the point
5 is still the science, not the policy, in a sense.

6 PANEL MEMBER FRIEDMAN: I guess I misunderstood.
7 So I thought there was something about policy, you know,
8 that --

9 CHAIRPERSON FROINES: No.

10 PANEL MEMBER FRIEDMAN: So that we're really
11 telling DPR that we think they should adopt the more
12 conservative health concerns?

13 CHAIRPERSON FROINES: Right. That's what
14 everybody has -- everybody who's spoken has said it. It's
15 unanimous.

16 PANEL MEMBER FRIEDMAN: Okay. So it isn't the
17 issue of the disagreement, but that --

18 CHAIRPERSON FROINES: No. That's just -- I'm
19 trying to avoid putting your dirty laundry out in the
20 public.

21 PANEL MEMBER FRIEDMAN: Okay. I totally agree
22 with that.

23 PANEL MEMBER PLOPPER: You know, I think it's
24 going to help when this document that George was talking
25 about comes out, so that there can be discussion --

1 PANEL MEMBER FRIEDMAN: Could you speak into the
2 microphone, please.

3 PANEL MEMBER PLOPPER: Pardon?

4 PANEL MEMBER FRIEDMAN: Closer to the microphone.

5 PANEL MEMBER PLOPPER: Oh, sorry.

6 I think it will help when this document that
7 George was talking about comes out, so that it would look
8 at all of what the scientific basis would be of evaluating
9 whether for a particular compound infants or young
10 children are more susceptible than adults. And I think
11 that's going to help.

12 CHAIRPERSON FROINES: Do you have more to present
13 this morning?

14 No.

15 Well, thank you very much, Marilyn.

16 So as of -- at this point I think we have
17 finished endosulfan for this session. Tobi and I had
18 hoped to go further with it today, but we're obviously not
19 going to do that.

20 So what we would like to do is at the next
21 session we'll have hopefully a final session on endosulfan
22 and we'll have findings at that meeting. So we'll
23 have -- and this will be February 28th. And we're going
24 to be presenting -- we're having a joint meeting with the
25 Air Resources Board. So we'll all have to be on our best

1 behavior.

2 (Laughter.)

3 PANEL MEMBER FRIEDMAN: I'd like your reaction to
4 something I've offered to do. That mass of findings I
5 think just had too much, the draft that we got. By the
6 way, there was only the first 12 pages that didn't seem to
7 have an ending. But how would you feel about my attempt
8 to shorten it to something much briefer?

9 CHAIRPERSON FROINES: I haven't seen any
10 findings.

11 PANEL MEMBER BLANC: No, the info -- excuse me.
12 Those were OEHHA's findings, not our findings. Those were
13 not our draft findings.

14 PANEL MEMBER FRIEDMAN: Oh, I'm sorry. I thought
15 that was our findings.

16 CHAIRPERSON FROINES: Kathy and Joe would
17 normally be working on the findings and I would do the
18 final edit. And --

19 PANEL MEMBER FRIEDMAN: Well, anyway, I --

20 CHAIRPERSON FROINES: But how about if you and --
21 if Kathy and Joe did a draft and then you did an edit?
22 That'd be great.

23 PANEL MEMBER FRIEDMAN: I'd be happy to do that.

24 But I was reacting incorrectly to what I
25 received, thinking that that was our findings. And

1 obviously it was not.

2 CHAIRPERSON FROINES: No. We have no findings
3 yet. And obviously there are issues that are going to
4 influence what's in the findings. Although we've actually
5 got -- we have unanimous view of this document, as far as
6 I can tell. So that we cannot write our findings now.

7 PANEL MEMBER FRIEDMAN: Okay. I may not have a
8 problem at all with what, you know, the draft says. But
9 I'd be happy to look at it.

10 CHAIRPERSON FROINES: So I think we should work
11 on the findings just so we can put this one to bed. We're
12 arguing about big issues but very small changes in
13 language, I think.

14 Yeah, Joe.

15 PANEL MEMBER LANDOLPH: And we'll have a new copy
16 of the final document as DPR has finalized it before the
17 February meeting?

18 CHAIRPERSON FROINES: Yes.

19 PANEL MEMBER LANDOLPH: Could I request --

20 CHAIRPERSON FROINES: I hope so.

21 Tobi, Joe just asked if we'll have a final draft
22 from you to read before the February meeting.

23 DPR ASSISTANT DIRECTOR JONES: That's correct.

24 PANEL MEMBER LANDOLPH: Could I make a request.

25 Is it possible to get a very nice copy of the

1 document like Marilyn gave me by e-mail but in hard copy,
2 with the document with the very nice yellow so it's easy
3 to see the changes?

4 CHAIRPERSON FROINES: Well, if you can do the
5 yellow, that would be great.

6 You're going to get a hard copy anyway and an
7 e-mail copy, I think. And so --

8 PANEL MEMBER LANDOLPH: I just don't want to burn
9 out too --

10 CHAIRPERSON FROINES: It's just that if you -- I
11 don't know. Is it easy to get somebody to highlight?

12 DPR ASSISTANT DIRECTOR JONES: We'll do it.

13 CHAIRPERSON FROINES: Yeah, this is a big
14 document. So that it would really be -- that would be
15 highly beneficial.

16 PANEL MEMBER LANDOLPH: So that we agree that
17 Kathy and I will start findings between us and go back and
18 forth and then distribute it to you and the Panel to look
19 at? Is that how you would like it done?

20 CHAIRPERSON FROINES: Yeah, I think -- I should
21 just say that I think the discussion by the Panel today
22 has been extremely good. Everybody was well prepared and
23 everybody was extremely articulate in their views. And so
24 I think this transcript will read very well in terms of
25 the Panel's review of this document.

1 And, see, Bill Lockett just gave me a thumbs up.
2 He loves this meeting today because he loves the
3 discussion of the science. And so -- sorry, Paul.

4 PANEL MEMBER BLANC: You know, John, one other
5 comment I would just make about something that may be a
6 subtle misunderstanding or gap in world views between the
7 DPR and OEHHA. And, that is, what constitutes the
8 endpoints of greater sensitivity or susceptibility of
9 younger members of the species? And although
10 neurotoxicity and reproductive toxicity are two key
11 examples of what might be endpoints of increased
12 susceptibility, in fact any evidence of an endpoint which
13 was manifest more strongly in the young would be evidence
14 of greater susceptibility of the young. And perhaps that
15 is a source of some confusion or difference of opinion
16 that could come together.

17 So that, you know, even were there to be
18 convincing evidence that there was no greater degree of
19 neurotoxicity in the young, let's say you had the
20 inhalation studies which showed no difference in NOEL, but
21 you had studies which showed other effects, respiratory or
22 systemic, nonspecific, in the young that were greater,
23 that would be evidence for greater susceptibility. It
24 doesn't have to be reproductive or neurotoxicity from the
25 point of view of why from a policy point of view one would

1 want to take such susceptibility into account. And I
2 think that's an important point that DPR should put in
3 context.

4 CHAIRPERSON FROINES: Well, I think -- I think
5 these are the kinds of issues that OEHHA is thinking about
6 in the document that they're going to bring us in
7 February. Is that right, Melanie?

8 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH
9 MANAGER MARTY: Yes.

10 CHAIRPERSON FROINES: So that I think we're going
11 to actually have a discussion on the Panel on this
12 particular issue as we review that.

13 And I would add one more thing which I think is
14 important. And, that is, that DPR in its approach to
15 toxic air contaminants uses the weight of evidence. And I
16 think that that's fine, but I think one has to establish
17 criteria when you have mixed results for how you're
18 going -- what is it going to take to find something
19 positive rather than negative. Because if you do have
20 mixed results, obviously there's some uncertainty. And it
21 seems to me that there needs to be -- and I hope you guys
22 talk about this -- there needs to be some criteria
23 established. I mean one of the papers I read on
24 genotoxicity was extremely sophisticated advanced science.
25 And so I think we have to be thinking about the analysis

1 of the studies where we really look at, are these studies
2 that are positive, are they really modern studies versus
3 old studies that are less adequate? And so the criteria
4 for weighting where you have mixed results is really
5 pretty important.

6 I just want to say one other thing. Everybody
7 who's in this field at least with the age that I'm
8 reaching knows about the terrible studies that NCI did by
9 contract in the seventies. I mean there's a whole history
10 of the failure of NCI to conduct effective studies. And
11 so when we get around to looking at studies from that
12 period of time, it may not be surprising that they turn
13 out to be less than adequate.

14 Do you agree with that, Melanie?

15 You weren't giving me the --

16 PANEL MEMBER BYUS: She wasn't born then.

17 CHAIRPERSON FROINES: She wasn't giving me the
18 facial response that I wanted.

19 (Laughter.)

20 CHAIRPERSON FROINES: I'll ask Andy.

21 No, no, let it go.

22 But, anyway, there were significant problems with
23 NCI studies in the seventies. And we don't know if this
24 one was part of that. But there was certainly horrible
25 science that went on in some of those studies. So we'll

1 leave it at that.

2 Shall we take lunch?

3 PANEL MEMBER BLANC: Peter, can we leave material
4 in the room, bags and things?

5 MR. MATHEWS: Yes.

6 CHAIRPERSON FROINES: I would like to start on --
7 we have a different schedule --

8 PANEL LIAISON BEHRMANN: One o'clock.

9 CHAIRPERSON FROINES: All right. We have a
10 different schedule. I would like to start -- instead of
11 with the three agencies at 1 o'clock, I'd like to start
12 with our guest speaker so he's not -- and he's welcome to
13 stay afterwards. But at least we'd give him the option to
14 say his piece and then decide if he wants to hang out.

15 So if that's okay, we'll start with you at one
16 o'clock.

17 (Thereupon a lunch break was taken.)

18

19

20

21

22

23

24

25

1 AFTERNOON SESSION

2 CHAIRPERSON FROINES: Peter, we're going to
3 start.

4 MR. MATHEWS: We're missing two.

5 CHAIRPERSON FROINES: That's all right. We said
6 1 o'clock and we're at least 1:10. As soon as Landolph
7 sits down, we'll start. And we'll turn it over to
8 Melanie.

9 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

10 MANAGER MARTY: Good morning. Melanie Marty from Office
11 of Environmental Health Hazard Assessment. Good
12 morning -- good afternoon.

13 When I spoke with John about the symposium this
14 afternoon, we talked about ways to get people to come up
15 and talk about a specific methodology called quantitative
16 structure activity relationships. And I just wanted to
17 say why we're talking about this.

18 I think the Panel has said many times in the past
19 that figuring out what chemicals to focus on is not a
20 simple task. There's lots of different programs in
21 California and in the U.S. and worldwide that have to look
22 at laundry lists of chemicals and flag the ones that might
23 be bad actors. So OEHHA's been looking around at other
24 programs and other organizations that have to do this for
25 one reason or another. And we've come to realize that

1 both U.S. EPA and FDA look at lists of chemicals where
2 there either is no toxicology data known or the chemical's
3 under development so there hasn't been a lot of study.
4 And they use quantitative structure activity relationships
5 to help them decide whether there's a potential problem
6 with that chemical from a toxicological perspective.

7 So Ed Matthews is with us today. Ed's a
8 computational toxicologist at FDA Center for Drug
9 Evaluation and Research in their Informatics and
10 Computational Safety Group. And Ed has developed either
11 all or almost all of the QSAR models that FDA uses when
12 they look at new drugs. So I asked him to come just to
13 give us all an idea of what you can do with QSAR and how
14 the models are developed. And that's why Ed is here
15 today.

16 CHAIRPERSON FROINES: So just to -- I didn't give
17 any introductory remarks. But this is a workshop on
18 setting priorities for the Toxic Air Contaminant
19 Identification Program.

20 And at some point I want to have a discussion,
21 not perhaps in this workshop, but on the fact that we know
22 that there are 180, approximately, hazardous air
23 pollutants which don't have risk assessments for the most
24 part. And one question is: Should OEHHA develop risk
25 assessments for the HAPS from a standpoint of going

1 further on a regulatory basis? So that's an issue for the
2 future.

3 There's an important issue, which is: We want to
4 find things as toxic air contaminants and then we presume
5 that ARB will -- presume will follow up with regulatory
6 activity, as well as DPR. So that's an assumption and
7 it's not always been the case. So that there are other
8 issues that are worth talking about over time.

9 So welcome. And it's all yours.

10 (Thereupon an overhead presentation was
11 Presented as follows.)

12 DR. MATTHEWS: Thank you very much. And -- let's
13 see, is this microphone on?

14 PANEL MEMBER BLANC: Yes, it is.

15 DR. MATTHEWS: Okay. Great.

16 First of all, I'd like to thank John and Jim and
17 Peter and Melanie and Linda and all the people that are
18 involved in inviting me here.

19 It's a pleasure to be before this group. I spoke
20 here a couple months ago. And this is going to be largely
21 the same talk. So I'm afraid that if -- you know, if you
22 heard the first one, you're not going to hear too much
23 different.

24 My name is Ed Matthews and I work for the U.S.
25 Food and Drug Administration in a very small applied

1 research laboratory.

2 All right. Let me figure out this computer.

3 Where's the page down?

4 Oh, terrific.

5 --o0o--

6 DR. MATTHEWS: As I said, it's a small group. In
7 my last talk our Director, Joe Contrera, was on this
8 slide, but he has since retired. Dan has been promoted to
9 an acting director. He's our database manager.

10 We have a single chemist. We're in the process
11 of hiring a pharmacologist.

12 And we have one student working with us, Anna
13 Frid. She is actually a graduate of UC Berkeley. And
14 she's helping me develop QSARs for cardiotoxicity, using
15 human data. A terrific student. We have an excellent
16 working relationship with UC Berkeley.

17 And Barbara Minnier helps us out with the QC in
18 our data and our databases.

19 --o0o--

20 DR. MATTHEWS: Okay. I'm going to try to cover
21 four areas in my talk:

22 FDA decision support tools. I'm going to
23 describe what they are.

24 A strategy for predicting carcinogenicity. We
25 actually predict a lot of other endpoints. But I'm going

1 to emphasize this and the way we think about this process.

2 Give you some information on some of the
3 preclinical clinical QSARs we have, which are basically
4 your animal toxicology tests, like what you were talking
5 about this morning for endosulfan.

6 And then QSARs based upon human data. This is
7 data that comes back to us in terms of post-market
8 surveillance for pharmaceuticals or information from
9 clinical trials in patients.

10 --o0o--

11 DR. MATTHEWS: Okay. So let's talk about the
12 specific FDA decision support tools. And basically what
13 we use them for is a matter of prioritizing large numbers
14 of chemicals and try to get rapid and reliable decision
15 support information right at the beginning of the process.
16 And we're building a dossier on specific chemicals.

17 --o0o--

18 DR. MATTHEWS: Okay. Before I get into that I
19 want to give you, first of all, an outline of the mission
20 of our group.

21 As I said, we're an applied regulatory research
22 unit. That's actually our mandate, to create toxicology
23 and clinical databases. And, in fact, that's where we
24 started. We got support through our center director to do
25 that one before we got into doing QSARs.

1 In fact, he's still publishing. He's in his nineties.

2 These QSAR equations are able to predict
3 activities of chemicals if they have a similar structure.
4 And they usually involve just one or two very simple
5 chemical molecular descriptors.

6 In contrast, there's what is known as global
7 QSARs. And this is primarily what we've ended up working
8 with and simply because the chemicals that we deal with
9 are very dissimilar in their structures. They're like
10 pesticides. You may get a couple of them that are fairly
11 similar, but for the most part you've got large numbers of
12 dissimilar molecular structures.

13 So with global QSARs you're able to use
14 multifactorial, nonlinear QSAR equations to make your
15 predictions.

16 And, in fact, these QSARs are able to induce very
17 large numbers of molecular fragments or descriptors as the
18 basis of these predictions.

19 --o0o--

20 DR. MATTHEWS: In terms of the decision support
21 tools, there's another way to look at it. You can
22 describe them in terms of the types of information that
23 you're trying to predict. And what we call the high end,
24 so to speak, or the human/mammalian health effects, in
25 this particular category you have this decision support

1 toolbox that actually is a collection of different QSAR
2 programs that we have through our collaborators and expert
3 systems.

4 The aim of these particular tools is to predict
5 carcinogenicity or gene tox or reproductive and
6 developmental toxicity, those sorts of endpoints, as well
7 as being able to predict some very specific effects of
8 molecules in humans using human data; in other words, very
9 specific adverse effects to human organs.

10 In contrast, there are QSARs which you can use to
11 predict environmental and non-mammalian effects of
12 chemicals. And for those that aren't familiar, the U.S.
13 EPA has been in the business a lot longer than we have,
14 and they have a huge suite of local QSARs which enable you
15 to predict environmental fate and aquatic toxicity and a
16 variety of other endpoints.

17 More recently, the OECD has passed legislation.
18 And, once again, I don't know if this group is familiar
19 with it or not. But it's now actually been implemented.
20 There's both a 7th Amendment, which deals with cosmetic
21 products, and then there's the REACH initiative, which is
22 involving a reevaluation of all the chemicals in commerce
23 in Europe.

24 And under the REACH initiative they're
25 essentially going to try to do this using QSARs and not

1 rely upon the results of animal studies, because they have
2 to accomplish this in a very short period of time. There
3 just isn't the resources or the money to do it otherwise.

4 And as I said, these types of QSARs are very,
5 very good at predicting environmental fate and those sorts
6 of endpoints.

7 --o0o--

8 DR. MATTHEWS: Now, there's some unique features
9 to the FDA system which I think you'll be interested and
10 will appreciate.

11 First of all, because we use proprietary data in
12 our models, we have to generate the QSARs in-house. So I
13 mean all of the QSAR prediction paradigms and the QSAR
14 models are actually developed by our staff.

15 Models contain knowledge from proprietary studies
16 in a form that can be shared. Now, what I mean by that is
17 that you can't actually put into your QSAR program, you
18 know, the name of a proprietary substance or its complete
19 structure or something like that. But what you can do is
20 you can use the QSAR program to find parts of that
21 molecule that are associated with some type of toxicity
22 like carcinogenicity. And then that becomes part of that
23 statistical information that's in the memory of the
24 program, and it will allow you to share that knowledge.
25 And this has been very important because of course we're

1 using a lot of proprietary substances from pharmaceutical
2 industry and they're using our software products right now
3 for that very purpose.

4 In addition to which, we've got some very
5 specific requirements which I'm going to get into in a
6 moment. But we actually go through and optimize and
7 improve our programs and work with our collaborators to
8 meet our own specifications. So we're very much involved
9 in the whole process.

10 The other thing that's unique about our system is
11 that the same training data set is used in more than one
12 prediction paradigm. In contrast -- you know,
13 historically people that have maybe purchased a site
14 license for TOP CAT or one of the other systems, then they
15 jump over to another program, and they expect the
16 predictions to be somewhat similar. But unfortunately
17 that's never going to be the case because they always use
18 different assumptions, different training data sets,
19 different methodologies, as well as different prediction
20 paradigms.

21 Well, that's not the case here. We're actually
22 using the exact same training data sets and they all go
23 through the same annual upgrade in terms of the system.

24 Okay. Now, we do get a small CRADA contribution,
25 royalty, whatever you want to call it, back from our

1 collaborators. And all of this money actually gets
2 reinvested in the program. We're able to support students
3 and contractors that help us extract data from our
4 archives and et cetera.

5 Yes.

6 PANEL MEMBER HAMMOND: What's CRADA?

7 DR. MATTHEWS: I'm sorry?

8 PANEL MEMBER HAMMOND: CRADA, what is it?

9 DR. MATTHEWS: Oh, CRADA - Cooperative Research
10 and Development Agreement. Sorry.

11 The government's full of acronyms.

12 (Laughter.)

13 DR. MATTHEWS: And as I said, also by having --
14 involving these other small software companies, it
15 tremendously expands our expertise in terms of knowledge
16 of the specific problems we're trying to solve.

17 --o0o--

18 DR. MATTHEWS: Now, specifically, these are the
19 programs that we're going to use. And in a moment I'll
20 show you where you can get more information about it.

21 We've been using the MultiCASE software programs
22 now for about eight years.

23 The META program you can use to predict
24 metabolites. So I was thinking here when you were talking
25 about endosulfan this morning, I mean it would be very

1 easy to put that molecule in there, and you could predict
2 all possible mammalian metabolites of that particular
3 pesticide.

4 The MC4PC is basically a toolbox which we
5 superimpose our training data sets on that we've developed
6 for all of our endpoints.

7 And this -- both of these programs make
8 predictions based upon molecular fragments. So what
9 they're basically doing is identifying certain pieces of
10 molecules that are statistically and significantly
11 enhanced in your training data set. For example,
12 something like an alkylating fragment would be picked up
13 in a mutagenicity module.

14 The second -- MultiCASE is located in Cleveland,
15 Ohio.

16 Leadscope is a small company that's on the Ohio
17 State campus. And they actually -- their primary business
18 was in developing data mining software. But they got
19 interested in predictive data mining about two or three
20 years ago. And their program works very, very well.

21 So we're actually able to use two different
22 programs to attempt to identify structural alerts
23 associated with toxicity as well as making predictions.

24 There's also programs that make their predictions
25 purely and simply based upon whole molecular descriptors.

1 What I mean by that, if you're not familiar with it,
2 descriptors such as E-state, Log P, volume shape
3 descriptors, et cetera, et cetera.

4 The one program, MDL-QSAR, we've had about five
5 years of experience with. And just about every year
6 there's a different company.

7 It started actually with SciVision. It's
8 developed by Joe Votano. It was purchased by MDL. And
9 MDL was purchased by Elsevier. And now it's back in
10 California. Symyx is a California company. So it's quite
11 a history.

12 The other program is BioEpisteme, which is
13 developed by Prous Science. And they're actually a
14 publishing company in Barcelona, Spain. But they have a
15 small research group. And we've been working with their
16 particular software.

17 In terms of expert systems, DEREK for Windows you
18 might be familiar with. It's been around for almost 20
19 years now. And they have also a program called Meteor,
20 which allows you to predict the metabolites of organic
21 molecules.

22 And interesting enough, if you happen to have
23 both of these side licenses, you could actually compare
24 the rules that were built into the program and the types
25 of metabolites that you would get.

1 mechanism of action of chemicals, which you can do with
2 DEREK for Windows. And Yin-Tak Woo at the EPA is the lead
3 scientist for the oncologic program.

4 --o0o--

5 DR. MATTHEWS: Okay. Now, when you talk about --
6 you know, a lot of people use QSAR programs and they don't
7 think too much about it. They just put a chemical in and
8 push the button and, you know, they get a result and then
9 they put it in a report. But we've taken it in an
10 entirely different direction. We think it's really,
11 really important that you do your homework and, in
12 particular, that you statistically validate in an
13 appropriate way your QSAR models; you know exactly what
14 the model is doing and whether it's reliable or not. And
15 there's a variety of ways you can do this.

16 Now, the leave-many-out process, which we use all
17 the time, establishes the model reliability. And
18 basically what you do is you take 10 percent of your
19 training data set. This is the one we're talking about
20 right here. Take it out of the training data set and then
21 predict the activity of those 10 percent compounds of
22 what's left over in the training data set. Then you
23 repeat the experiment many, many times and it gives you an
24 idea of how reliable your model is going to be.

25 And in a moment I'll show you how reliable our

1 models were for carcinogenicity.

2 The other test you can do is a leave-one-out,
3 which tests the model stability. And you essentially
4 leave one chemical out and then you predict its activity
5 with the rest of the data set.

6 Okay. In addition to which you always want to
7 run an external validation. You know, we build our QSAR
8 models using every bit of data we can get our hands on.
9 But then, you know, you come along and there's another 100
10 new chemicals that we get carcinogenicity studies for, et
11 cetera. And these are novel, unique molecular entities.
12 Different structures. And it's very important to use
13 these types of chemicals to go back and just see how well
14 your models work. I mean it's a very rigorous test for
15 how well your QSAR model is performing.

16 In addition to which, we test for
17 complementarity, which is kind of unique. Because as
18 you'll see in a moment, we don't use just one QSAR
19 program. We actually use several simultaneously. And
20 there's really no point in the world using two QSAR
21 programs to predict exactly the same things. I mean, you
22 know, it's just a duplication of effort.

23 So the first thing we do is we make sure that the
24 programs are predicting something different about the test
25 molecules and there's something different about the

1 prediction paradigms, so we test for complementarity to
2 make sure they are different.

3 In terms of the performance criteria, we focus in
4 on specificity and false positives. And we try to make
5 sure that the QSARs are performing well and have very few
6 false positives and high specificity.

7 We of course need high coverage and high
8 applicability domain. I mean if we get assignments in
9 from our scientists and we routinely were to tell them,
10 "Well, we can't make a prediction because your molecule
11 isn't covered," they're not going to be very happy with
12 us. So we invest a great deal of time in building large
13 training data sets and have good coverage, I mean
14 something like 95 percent of basically everything that we
15 predict.

16 As I said, multiple QSAR programs with identical
17 training data sets.

18 And the other thing is we use a standard weight
19 of evidence scoring paradigm. And I have to admit when I
20 was thinking about your endosulfan discussion this
21 morning, I mean this is at the heart of what we do. We
22 often times have more than one study for a particular
23 endpoint, we have more than one source of information.
24 The relative activity of chemicals in the same test are
25 often times dramatically different. So we have a

1 standardized way in which we relate the relative potency
2 of substance in these tests and give that a weight of
3 evidence in the QSAR equation. And I'll have the example
4 of that in just a minute.

5 --o0o--

6 DR. MATTHEWS: Okay. Now, in terms of using more
7 than one program, as I said, we do use two or more
8 programs. There's two ways you can use them. You can
9 use -- you can get a high confidence prediction and
10 specificity by only taking consensus positive predictions.
11 So in other words, you're getting the same prediction from
12 two or more programs that are complementary. They're both
13 predicting the substance to be positive. And yet one's
14 doing it on the basis of descriptors, another's doing it
15 on the basis of molecular fragments. To us that's really
16 convincing information.

17 The other way you could do it though if you're,
18 you know, prioritizing large numbers of chemicals and
19 you're worried about sensitivity in trying to capture all
20 possible positives, then you could do it this way
21 essentially: You know, take all of the validated
22 programs. And whatever you get a positive, you can add
23 that together. But you will sacrifice specificity by
24 going that route.

25 Now, the other important thing that -- and

1 although it does use different strains of animals.

2 The Lois Gold Carcinogen Potency Database that
3 was developed at Berkeley. We use that.

4 IARC monographs.

5 The literature.

6 And I put this up. The EPA pesticide
7 re-registration documents.

8 So I don't know if this group is familiar with it
9 or not. But a lot of the information on pesticide studies
10 is now up at the EPA website. And whenever there's a hit
11 and a PDF file, we've used that information in billing our
12 various QSAR models including carcinogenicity.

13 --o0o--

14 DR. MATTHEWS: This is the weight of evidence
15 given. And in terms of carcinogenicity, there's some
16 chemicals out there that cause tumors in both rats and
17 mice, and they cause multiple site tumors. In other words
18 you just don't see tumor -- you know, a liver tumor. Or
19 you find tumors in a variety of different organs.

20 And we are firm believers in the Ray Tennant
21 paper. He published a paper on mutation research back in
22 '93. And he basically put forward the hypothesis that the
23 chemicals that have the highest potency, that is, the ones
24 that really have these trans-species and multiple site
25 tumors, are the ones most likely to be problematic in

1 humans. If you have a chemical that only had a single
2 site response in two species and two gender of animals,
3 the probability of that being a problem in humans is
4 extraordinarily less in comparison.

5 So all of our QSAR models have used this kind of
6 paradigm. Well, we'll give chemicals that -- you know,
7 like an alkylating agent that just, you know, produces
8 tumors everywhere in both genders and both species will
9 get a score up in this range. For moderately potent
10 toxins that have trans-gender single site tumor responses,
11 we give them a little lesser activity.

12 And then for chemicals that, you know, had
13 equivocal, inconsistent findings, et cetera, et cetera, we
14 give them 20 to 29 and then our non-carcinogens found at
15 the bottom.

16 Now, in terms of QSAR programs, you've got to
17 decide where you're growing to draw the bar. And we
18 essentially treat all of the chemicals with marginal
19 findings as being inactive in a binary sense in order to
20 be able to tell the QSAR program what's active and what
21 isn't.

22 But we do keep track of the information on the
23 specific activities of the chemicals.

24 In practice we've done many experiments to decide
25 whether there's any biologic meaning in this group. And

1 all the experiments have been consistently negative. So
2 everything that we've done has supported this hypothesis
3 or weight of evidence.

4 --o0o--

5 DR. MATTHEWS: In terms of the actual database,
6 it's around 1600 chemicals. We have QSAR models for four
7 software programs. There's actually seven models because
8 you don't get exactly the same response when you put a
9 chemical into mice and rats and male and female animals.
10 The responses are often different. So we actually have
11 models that represent the male and female animals and then
12 a composite profile of what the carcinogenicity response
13 was in that particular species.

14 And then of course the two expert systems.

15 --o0o--

16 DR. MATTHEWS: Okay. So this is what the data
17 looks like. We're going to -- first of all, I'm going to
18 show you what it looks like when you take all positives
19 from one or two QSAR programs.

20 You have a limited budget, so you picked one of
21 the four programs. And then -- or you may have -- you
22 know, you were able to afford two licenses. Well, this is
23 the statistics for carcinogenicity.

24 In terms of specificity, you get a small drop in
25 specificity by going to all the predictions of the two

1 programs, but not too bad. But you get a pretty
2 substantial spike in terms of sensitivity. And those are
3 the values that you'll see.

4 The other characteristics that we use to evaluate
5 our models though, most people are familiar with the
6 ROC -- that's the receiver operating coefficient. It's
7 the sensitivity divided by false positives. And we found
8 it very, very useful. Basically it's telling you that
9 there's a high ratio of true positives to false positives
10 with your program's predictions. And in addition to which
11 you can do a Chi-square to see how well your program is
12 predicting carcinogens versus non-carcinogens. So they're
13 working very well.

14 In terms of coverage, as I said we -- the
15 program's averaging around 95 percent or so. And the
16 minute you put two programs together, your coverage
17 actually jumps up to 100 percent.

18 It's really quite amazing. You can have
19 chemicals that -- you know, you may have a multiCase
20 program and it -- some parts of that molecule have got
21 fragments that it's never seen before. So we treat that
22 as a molecule. It's not covered by the program.

23 But on the other hand its three-dimensional --
24 two-dimensional molecular descriptor properties have been
25 covered by other molecules that are in the data set. So

1 what happens is that when you put two of these programs
2 together, you're actually able to predict almost all the
3 organic chemicals that you put in. And so it really
4 substantially improves the coverage of what you can do.

5 --o0o--

6 DR. MATTHEWS: Okay. The other approach, as I
7 said, if you really want to get the best possible
8 predictions is to look at the consensus positives where
9 you're predicting the same chemical to be positive. I
10 mean what that really means is that there's something
11 unique about the properties that -- let's say, a
12 carcinogen that's really isolated from all the
13 non-carcinogens in the database. There's molecular
14 fragments, descriptors, et cetera.

15 And what happens is if you use any one program or
16 two or three or all four in terms of specificity, this is
17 what you get. So there's obviously a big drop in
18 specificity by just using, you know, all four programs
19 together, any one being a positive. The condition that we
20 recommend is using any two out of the four.

21 In terms of sensitivity, you can see -- I mean at
22 one extreme it's only about 16 percent. But then you're
23 requiring every single program to make a correct
24 prediction for that chemical for a different reason. So
25 it's a really stringent criteria.

1 But the other extreme, you know, you're coming up
2 with about 81 percent. So interesting enough, that means
3 that there's really 20 percent out of the carcinogens that
4 are in our database that even with these state-of-the-art
5 programs they don't know why they're carcinogens.

6 These programs aren't foolproof. I mean they --
7 you know, they're going to get you this far, but they're
8 not going to be able to get you all the way. And you
9 can't be naive about this. I mean it just means that
10 there are certain carcinogens that are poorly represented
11 in the database and we just don't know why they're
12 carcinogens and, you know, there aren't many examples of
13 their molecular properties.

14 In terms of the ROC values, you can see an
15 enormous difference depending on, you know, whether you're
16 using one or all four programs.

17 And the Chi-square values. And I focus in on
18 this one. This was actually the highest Chi-square value,
19 and it was the reason that we actually ended up choosing
20 this particular experimental condition. So we figure we
21 can predict, you know, with specificity of 84 percent,
22 roughly 60 percent of the carcinogens that are out there.

23 PANEL MEMBER BLANC: Can I ask a question?

24 DR. MATTHEWS: Yes.

25 PANEL MEMBER BLANC: From a public health

1 protective point of view, wouldn't you care more about
2 sensitivity than specificity?

3 DR. MATTHEWS: Well, from a public health point
4 of view -- in practice as a regulator, our confrontation
5 is with pharmaceutical industry legal stuff. And
6 ironically, you know, what you really need to do -- in our
7 group, we get assignments now, and we have to pass this
8 information on to our reviews. And we want to give them a
9 substantial argument that they could use to either make a
10 regulatory decision on the basis of those findings or
11 request additional information from pharmaceutical
12 industry.

13 So a prediction that's based on, you know, high
14 specificity and there's convincing evidence in terms of
15 the documentation that you could get for the studies that
16 were the basis of that prediction, we really get into
17 trouble. On the other hand, if you go forward with a
18 prediction that has extraordinarily high sensitivity but
19 poor specificity, there's a high probability that that
20 prediction won't hold up. You won't be able to have a
21 convincing argument for it.

22 So, you know, it would be nice if we had
23 carcinogenicity studies for a hundred thousand chemicals.
24 We don't. We've got it for 1500, and there's 200 million
25 chemicals out there. So I think we're doing the best we

1 can with what we have.

2 But it's like the tip of an iceberg, and you have
3 to -- you know, there isn't any solution to it. You can't
4 test the 200 million chemicals. You can't do it. There
5 aren't the resources to do it.

6 So this is, you know, our answer at getting
7 closer to that target.

8 I don't know if I answered your question or not.

9 PANEL MEMBER BLANC: In a sense you did.

10 (Laughter.)

11 PANEL MEMBER BLANC: I mean my interpretation of
12 your answer is that your goal is not to be public health
13 protective; you have other priorities.

14 DR. MATTHEWS: Well, I've got a couple slides
15 coming up where I think you'll see that in fact our
16 motivation is extraordinarily public health protective. I
17 mean there are areas that really haven't been addressed
18 before.

19 Going on. In addition to, as I say, making a
20 prediction, I think it's really important that you
21 whenever possible link this to a specific mechanism of
22 action. And what I have here is that in the DEREK for
23 Windows program there's a rule -- mechanism of action rule
24 that if you've got a thiouracil analogue, the program's
25 going to tell you it's going to be a carcinogen. Well, it

1 turns out that in our database, none of the thiouracil
2 analogues were genotoxic. Three of three were
3 carcinogenic and they were all predicted by our programs.

4 In contrast, it has a rule for a genotoxic
5 structural alert, the mechanism of action. And the full
6 documentation is in there for that.

7 Well, it turns out that all of the aziridines in
8 our database were genotoxic, they were carcinogenic, and
9 they were predicted by the programs.

10 Yes.

11 PANEL MEMBER LANDOLPH: Can you also predict the
12 potency as well from your programs?

13 DR. MATTHEWS: Potency in the sense that, okay,
14 if it is a -- say, an alkylating fragment-like molecule,
15 you know the relative potencies of all of the other
16 alkylating fragment molecules in the database. So it
17 would give you a score back in that sense.

18 If you're asking the question in terms of the
19 dose at which it causes carcinogenicity, that's more
20 complicated. But also you can get a prediction for that,
21 because we have a model that predicts the actual dose at
22 which you conduct the carcinogenicity study. And it's
23 used as the top dose or the dose under which that you
24 usually make your regulatory decision as calling it a
25 carcinogen or not.

1 So you can predict the dose at which a chemical
2 is carcinogenic as well as to whether it's liable to be a
3 multiple-site carcinogen or have lesser activities.

4 CHAIRPERSON FROINES: Going back to our
5 discussion from earlier today, and maybe we -- maybe we
6 should hold questions. But just this -- can you take --
7 going back to the endosulfan discussion. Can you take the
8 programs from metabolites and then ask the question which
9 metabolites might be genotoxic and then from that you
10 might then ask a question which compounds that have said
11 "yes, yes" might be found to be carcinogen --

12 DR. MATTHEWS: Absolutely. In the multi-case
13 program, the META program is fully automated. So what
14 happens is that you put in your parent chemical and you
15 have to specify how many levels you want to go in terms of
16 metabolites. With pharmaceutical molecules, we just
17 usually go first pass. But if you have some other
18 indication or you want to know all possible metabolites,
19 you can actually make this thing and go -- drive it down a
20 carbon dioxide, you know.

21 It's not really a recommended thing to do.

22 But certainly you can predict these metabolites.
23 And then the program exports these structures in an
24 electronic format which it recognizes to make a prediction
25 of toxicity. In other words it actually makes a mole file

1 or smile code representation of each of the metabolites
2 that it predicts, and it does it automatically. So you
3 automatically get the list of, you know, M1 to N15,
4 whatever metabolites for your program. And then you can
5 submit those back into the QSAR model that has the genetic
6 toxicity that you're attempting to predict.

7 Now, the META program -- with the -- excuse me --
8 the Meteor program with DEREK you have to do it in two
9 steps. It isn't automated. But it makes -- it gives you
10 the electronic structures for the metabolites. But then
11 you actually have to manually go in and take those out and
12 then put them back into another system to make your
13 predictions. But it's semi-automatic.

14 But, yes, you can. So you can say whether a
15 metabolite is possibly genotoxic or not. And it will give
16 you the mechanism by which it would be.

17 Yes.

18 PANEL MEMBER LANDOLPH: This would be an
19 interesting prediction. You know, endosulfan, there's not
20 real good carcinogenicity data. We're struggling with it.
21 We think it's genotoxic. Could you predict that as one
22 way or another, carcinogen or --

23 DR. MATTHEWS: I'm sure you could. You know,
24 it's interesting. I'm kind of biting my tongue. But
25 before I came here I looked endosulfan up in our

1 databases. And I got all my data from the re-registration
2 document for endosulfan. And so I didn't get it from the
3 public literature. I got it essentially from inside the
4 U.S. EPA. And there was a carcinogenicity study there
5 that was negative. And I don't know if that's the same
6 one that -- you know, that you folks have been looking at
7 or not. I don't know if you've looked at the
8 re-registration document. Have you?

9 PANEL MEMBER HAMMOND: Tobi, behind you, saying
10 yes.

11 DPR ASSISTANT DIRECTOR JONES: We use the same
12 data.

13 DR. MATTHEWS: Same data. Okay.

14 All right. There was the positive study. And
15 there was obviously, you know, findings in male
16 reproductive studies. So in our models, you know, it was
17 pretty much in line with what you have here.

18 I didn't attempt to do metabolites or anything
19 like that. But, you know, it's quite doable.

20 --o0o--

21 DR. MATTHEWS: Okay. Don't expect me to -- you
22 know, to be able to read the lines in this. But basically
23 the information that's in there is in a paper that's
24 accepted for publication. And what it basically does, it
25 has 15 rules that are in the DEREK program, making

1 predictions of carcinogenicity. Some were based upon
2 genotoxic alerts, some were nongenotoxic. And the
3 program -- our QSAR programs are able to predict about 223
4 out of 226. So it's like 98 percent of the carcinogens
5 that fell into this group that had plausible mechanisms by
6 which they cause cancer. So it's interesting.

7 In that program you're going to find a variety of
8 rules for nongenotoxic carcinogenesis, which is
9 sometimes -- it's not often times taken into
10 consideration.

11 --o0o--

12 DR. MATTHEWS: All right. I'm going to go
13 through these fast. But if there's specific questions,
14 you know, as I said --

15 --o0o--

16 DR. MATTHEWS: -- we look at genetic toxicity
17 endpoints and have a large database. We use three
18 different programs. We don't focus in just on salmonella
19 mutagenicity.

20 Now, this is an interesting list of endpoints.
21 It's actually the genotoxic endpoints which in our one
22 paper we found these data sets to be predictive of
23 carcinogenicity. So we used these models to predict
24 genotoxic activity.

25 Now, what you won't see on this list is an

1 endpoint such as cystochromistic change. What you don't
2 see is the in vitro Chromo MAPs. Because in our hands,
3 the data sets -- and these were very large data sets --
4 they didn't predict well carcinogenicity. Now, that's not
5 to say that those aren't good gene tox tests. Actually
6 SCE test is remarkable. You get the same chemicals, the
7 four labs, and they always get the same answer. The
8 trouble is it doesn't predict carcinogenicity.

9 But these are the endpoints that we use
10 internally when we get an assignment to predict genetic
11 toxicity.

12 PANEL MEMBER BYUS: Is that because of
13 metabolism, do you think? Or is the QSAR only on the
14 parent compound and doesn't address the metabolism? I'm
15 following you, but I'm -- you see what I mean? That's --

16 DR. MATTHEWS: Yeah. There's obviously well
17 documented cases where the parent molecule is not really
18 the source of, you know, the mutagen.

19 But on the other hand, if you've got a
20 fragment-based program, what it does is it goes and it
21 identifies the region of the molecule that becomes the
22 polar intermediate with points --

23 PANEL MEMBER BYUS: So in a sense it does adjust
24 the metabolism?

25 DR. MATTHEWS: It does and it doesn't.

1 PANEL MEMBER BYUS: It does and it doesn't.

2 Yeah, okay. Well, that's cool. That's a good question.

3 DR. MATTHEWS: What we haven't done -- and it's
4 really important for you to know -- we have not invested a
5 lot of time in the specific metabolites of the carcinogens
6 in our database. And it's something we're actually going
7 to do in this next year, because -- you know, as I said,
8 20 percent of those carcinogens aren't predicted. And it
9 could very well be that the metabolites will answer a lot
10 of those questions. We don't know. We haven't done it.

11 CHAIRPERSON FROINES: Well, it's a good -- it's
12 an interesting issue because in southern California the
13 polycyclic aromatic hydrocarbon that we breathe by a
14 factor of 10,000 more than any other is naphthalene. And
15 naphthalene's nongenotoxic. But -- and I hesitate to say
16 this, but naphtha quinone is genotoxic.

17 (Laughter.)

18 CHAIRPERSON FROINES: And so that's an example,
19 of which there are many.

20 I'm going to keep fighting my way through this
21 group on this issue.

22 (Laughter.)

23 CHAIRPERSON FROINES: But in any case, there are
24 a number of examples of metabolic pathways that take you
25 to carcinogens that is not true for the parent.

1 DR. MATTHEWS: Right. And, you know, we're going
2 to be looking at that. Although I have to tell you that
3 the absolute majority, maybe as many as 90 percent of the
4 pharmaceuticals that are carcinogens, well-documented
5 carcinogens are all nongenotoxic. And their site of
6 action is in endocrine organs. So the most likely
7 explanation is pharmacologic overload. And that's got
8 nothing to do with --

9 CHAIRPERSON FROINES: What is it in? What --

10 DR. MATTHEWS: They are chemicals -- they are
11 pharmaceuticals that have caused tumors in endocrine
12 organs, hormone-producing organs. So in other words
13 something like the pancreas, the thyroid. And there
14 absolutely is no evidence whatsoever that they're
15 genotoxic. And, you know, you don't need to metabolize
16 estradiol to make it a carcinogen.

17 So there probably are a lot of other mechanisms
18 out there that are not going to be dependent upon
19 metabolism. It might be important for some, but it's not
20 going to be the answer for everyone.

21 PANEL MEMBER BYUS: Well, that was my question,
22 kind of along the line tumor promotion. Do you model that
23 at all?

24 DR. MATTHEWS: Never been able to do it.

25 PANEL MEMBER BYUS: You've never actually --

1 right. Okay.

2 DR. MATTHEWS: The control data set just isn't
3 there. There hasn't been a standardized protocol. The
4 data set is too small. We tried, but we can't do it.

5 CHAIRPERSON FROINES: Well, there are, for
6 example, issues of gene methylation that have had a lot of
7 research interest in recently and that -- but -- so that
8 you talk about a nongenotoxic carcinogen, but in fact
9 there are genetic changes that are occurring. And so one
10 can't oversimplify the issue.

11 DR. MATTHEWS: Oh, absolutely.

12 CHAIRPERSON FROINES: So in a short-term test
13 that would be negative. But in terms of the changes to
14 the gene, they are significant. But they would be not
15 picked up by any of the tests that people have
16 traditionally used.

17 DR. MATTHEWS: This wasn't part of my talk. But
18 I'm collaborating with an hepatotoxicity work group. The
19 most common explanation for a pharmaceutical being taken
20 off the market is hepatotoxicity. And it usually only
21 takes a couple of patients, you know, with serious liver
22 findings and liver disease. So our group -- yes.

23 PANEL MEMBER BLANC: I actually would take
24 exception with that. It may be liver toxicity. But I
25 don't think it takes a couple of patients. It depends on

1 what the mechanism is. If it's a truly ideosym --

2 DR. MATTHEWS: Operationally that's exactly what
3 it's turned out to be. If you go down the list of about
4 50 drugs that have been taken off the market because of
5 bad toxicity findings, that those particular case studies
6 ended up being the pivotal decision -- pieces of
7 information for the decision of either, you know,
8 continuing the drug on the market in terms of having a
9 black box or in fact discontinuing. And it usually was --
10 it amounted to just a few patients that had liver failure,
11 that they were absolutely convinced I mean it was due to
12 the particular pharmaceutical.

13 Why though? No one knows. Okay.

14 So one of the approaches that we're doing in our
15 group is in fact to look at the gene arrays that are
16 stimulated by these pharmaceuticals that have had severe
17 hepatotoxicity. There's a group working on that.

18 Our particular group is going to go down the
19 pathway of looking at specific metabolites. Since the
20 metabolites of all the drugs taken off the market are
21 known, it's one of the things that we're going to do next
22 year, is look at that. That doesn't mean that we're going
23 to get the answer with either one of these. But, you
24 know --

25 CHAIRPERSON FROINES: Well, I think the gene

1 array work would be really interesting, because so much of
2 it's been a fishing expedition up till now.

3 DR. MATTHEWS: Yeah. Well, this is really
4 targeted. I mean you're going to get the answer one way
5 or another. It's going to be "yes" or "no" and then you
6 can move on.

7 --o0o--

8 DR. MATTHEWS: Okay. Reproductive and
9 developmental toxicity. This has represented about ten
10 years' worth of work putting these data sets together and
11 the QSAR programs.

12 We predict -- we have models for predicting
13 reproductive toxicity in male and female animals, usually
14 in both the rat and mouse. And then there's additional
15 models for specific dysmorphogenesis or birth defects and
16 behavioral toxicity. So that's what we use internally.

17 We have not been successful in predicting fetal
18 growth and fetal death and some of the other parameters
19 that are measured in those tests. For one reason or
20 another they don't develop good QSARs.

21 --o0o--

22 DR. MATTHEWS: Maximum tolerated dose. I had the
23 question earlier, you know, can you --

24 PANEL MEMBER BYUS: This is not teratogenicity?
25 This is just a --

1 DR. MATTHEWS: Teratogenicity.

2 PANEL MEMBER BYUS: Teratogenicity?

3 DR. MATTHEWS: Yeah, teratogenicity.

4 PANEL MEMBER BYUS: It's teratogenicity?

5 DR. MATTHEWS: Yeah.

6 PANEL MEMBER BYUS: Over -- we've had this -- or
7 this developmental tox -- okay. That's good.

8 DR. MATTHEWS: Birth defects.

9 Yeah, there's a catalogue of about, I don't know,
10 800 of them. And that's specifically what we're talking
11 about.

12 PANEL MEMBER BYUS: Got it.

13 DR. MATTHEWS: This is the models that we use to
14 predict the dose at which a chemical -- you know, it could
15 be a noncarcinogen or a carcinogen. But it's the dose
16 that you would use to test for carcinogenicity. And as I
17 said, the dose that usually ends up being the one that
18 causes significant tumors is usually the top dose or the
19 next dose down, which is about a third log down. So you
20 can come fairly close with estimating what that dose is
21 for carcinogenicity by putting these two systems together.

22 Those are the models for that.

23 --o0o--

24 DR. MATTHEWS: Acute toxicity. We do have models
25 for that, but they're old. And we really don't place much

1 need or interest in that particular area. So I'm going to
2 move on.

3 --o0o--

4 DR. MATTHEWS: Now, this one I wanted to talk
5 about a little bit, because it's -- at the risk of getting
6 on a bandwagon here. But I swear, every talk I give,
7 somebody says, "Okay, yeah, that's really great. But what
8 you're really using is animal data that predicts something
9 that's in humans." And the answer is, no, we're not.

10 --o0o--

11 DR. MATTHEWS: We're actually using data from
12 post-markets of balance or clinical trials. It's not
13 animals. We don't use any uncertainty or safety factor
14 corrections. There's none required. This is the specific
15 effect of the chemical on a person. And you do get out,
16 you know, a milligrams per body weight per day number out
17 of the system. And we use this to predict specific
18 effects of chemicals on human organs.

19 --o0o--

20 DR. MATTHEWS: Now, this is one particular data
21 set. And I can actually point to one EPA organization
22 that's using this approach. It's the Danish EPA. You
23 know, I've been in touch with them. And they actually had
24 this model, and they use this in their regulatory decision
25 process, because they said what's really unique about this

1 is that the maximum recommended daily dose of a
2 pharmaceutical -- when you go to the Physician's Desk
3 Reference to the Dose Administration section, there's a
4 dose in there that tells the physician, "Okay, you can't
5 prescribe more than this on a 24-hour period to the
6 patient" for whatever that medication is. And if you
7 do -- you know, if accidentally the person takes more than
8 that or you do do that, you're going to get adverse
9 effects, sometimes very serious ones.

10 So it's essentially, you know, the threshold for
11 toxicity in people. And this dose varies over about a
12 ten-log range from, you know, your cardiac leukocytes that
13 you treat arrhythmias for to -- you know, like an
14 antibiotic where you take these huge horse pills, you
15 know. It's absolutely amazing. So it's like a ten-log
16 range. And, in fact, there's a structural basis for this.
17 There's structural -- there's properties of molecules that
18 tell you what this dose is.

19 So you can use these programs based on human data
20 to give an estimate of what an organic chemical would be
21 in humans.

22 Now, granted, this is all -- these are all
23 pharmaceuticals. This is the basis of the database. So,
24 you know, you're going to be able to predict plant-like
25 substances, perhaps pesticides. But if you put in, you

1 know, a material -- you know, some of your typical EPA
2 materials used to -- you know, like any oxidants or
3 whatever, they're not going to be predicted because
4 they're not pharmaceuticals. But anything that has -- but
5 these programs will tell you whether it's covered or not,
6 you know. And if it happens to be, you know, a borate or
7 something that isn't predicted, it will tell you you can't
8 make a prediction. But for other molecules it can. And
9 it can give you a pretty reasonable number for a -- I
10 think for, you know, a risk assessment scenario.

11 PANEL MEMBER BYUS: What about the COX-2
12 inhibitors, does it produce cardiotoxicity? How does that
13 work out?

14 (Laughter.)

15 DR. MATTHEWS: We got this as an assignment.

16 PANEL MEMBER BYUS: Did you?

17 DR. MATTHEWS: Yeah, we -- actually Jim --

18 PANEL MEMBER BYUS: So if you're doing --

19 DR. MATTHEWS: -- and I attended the COX-2
20 inhibitor forum. And we were asked that question. And
21 it's really interesting. Because, you know, if I were to
22 put structures of 15 or 20 COX-2 inhibitors up there, you
23 would see nothing in common with them. I mean it's
24 everything from Tylenol to Vioxx. I mean they're
25 really --

1 PANEL MEMBER BYUS: Well, they all have it, yeah.
2 Different selectivities.

3 DR. MATTHEWS: Okay. But in a three-dimensional
4 sense, there's only three receptor sites, in the COX-1,
5 COX-2, and then there's kind of an amorphous binding
6 that -- properties. So all of these molecules are fitting
7 into the same three-dimensional receptor site. And the
8 answer is you can -- with the program you actually have --
9 I hadn't planned on getting into this, but -- okay.

10 What Prous Science did is they actually had
11 patent lawyers in all of the patent offices around the
12 world. So they've got them sitting in Japan, the United
13 States and Germany and everything. And the minute that
14 the patent is filed, they collect all this information.
15 And they have a model that predicts the mechanism of
16 action of a pharmaceutical based on this patent
17 information.

18 So what we did is we applied that model back
19 against the COX-2 inhibitors, and it showed possible
20 explanations for the cardiotoxicity. And it was something
21 that worked -- you know, that wasn't in the literature.

22 CHAIRPERSON FROINES: I think my question is in
23 fact exactly about this.

24 One of the things that's true about Cox
25 inhibitors -- and in this case the one I'm raising is

1 aspirin -- is that if you're dealing with endothelial
2 cells, the inhibition -- the regrowth of protein takes as
3 long as seven days, eight days. And there's another site,
4 which I'm for the moment blanking on, where the protein
5 regrowth is of the order of minutes to hours. And so
6 within the same mammalian species you have aspirin capable
7 of inhibiting -- being a Cox inhibitor. But the rate of
8 regrowth of protein is dramatically different. And so I
9 think that the -- for example, that the endothelial cell
10 slow regrowth has specific relevance to cardiotoxicity.

11 But can you look at that level of sophistication?

12 DR. MATTHEWS: Yes, you can, because it turns out
13 there's only about 400 genes that control pharmacologic
14 activity. It's not an infinite number. I mean most of
15 their genes are doing something else entirely.

16 And most of these -- you know, a drug in the PDR
17 tells you what the pharmaceutical company has documented
18 for its efficacy and for one specific binding activity.
19 But invariably each one of those pharmaceutical molecules
20 probably binds to a half a dozen receptor sites. And we
21 frankly think that's the basis of most of the adverse
22 effects, you know. It's like -- you know, many
23 antibiotics bind to the angiotensin receptor site. So
24 they have ACE inhibitor like activity, you know.

25 And then -- you know, many of the antipsychotics

1 bind to the adeno receptor sites, so you have urinary
2 incontinence. I mean, you know, it's all tied together.

3 PANEL MEMBER BLANC: Just clarify something for
4 me in terms of the group of different software programs
5 you're presenting the results for. When you present the
6 results in terms of sensitivity and specificity and other
7 predictive, it's ability to predict the characteristics of
8 the known chemicals that were put into the database?

9 DR. MATTHEWS: That's right

10 PANEL MEMBER BLANC: So it's auto-predictive
11 capability?

12 DR. MATTHEWS: Yeah.

13 PANEL MEMBER BLANC: Do you have prospective data
14 for any of these in terms of what eventually turns out to
15 be the case for chemicals which were not part of the data
16 set originally?

17 DR. MATTHEWS: Well, we do for a couple of
18 specific models. It turned out -- which I haven't gotten
19 to. But there's a slide here about hepatotoxicity.

20 PANEL MEMBER BLANC: Well lets go to that then.

21 --o0o--

22 DR. MATTHEWS: Okay, hepatotoxicity. So we have
23 models where cholestatis, basic, you know, acute toxicity
24 to the liver, liver enzymes, et cetera.

25 When we put this database together of around 1600

1 chemical, we thought we had the lion share of everything
2 in the literature. Then we discovered a paper that
3 summarized a bunch of drugs that had been taken off of the
4 market in Europe. And there was a subset of about 25
5 drugs that were taken off because of liver findings.

6 And the statistics that we had for using the
7 cross-validation LNO procedure were absolutely identical
8 to the external for this data set. So that's -- and this
9 has happened a couple of times.

10 On the other hand, its really easy to get your
11 hands on 20 molecules that are very different, and then
12 the predictions are not good. So it's kind of a Catch 22.

13 And to do the tests fairly you really need to
14 have a balanced test set. So that it's not just, you
15 know, 20 molecules that look the same and are all
16 tremendously different.

17 PANEL MEMBER BLANC: Well, let me ask you a
18 different question.

19 When you develop these tools, is it a typical
20 process where you divide your data set in half, develop
21 your predictive software, and then test it on the second
22 half of your group?

23 DR. MATTHEWS: No. With the global QSARs we've
24 never used that strategy. We've never had enough data.
25 That's a classical procedure that works very well for a

1 Hansch equation, but it doesn't work for global, simply
2 because instead of having, you know, one mechanism or one
3 or two mechanisms being described in the equation, you
4 probably -- how many mechanisms are there for
5 carcinogenicity? There could be hundreds. So we've never
6 had enough data. So we use all the data and then -- you
7 know, on a yearly basis you may get 30 new chemicals. And
8 of course we'll do an external validation and tests and
9 see how well the model is. And occasionally we find
10 something that's wrong with a model. You know, those new
11 chemicals pouring out something that we didn't see, so we
12 correct it.

13 But you can't do it that way.

14 PANEL MEMBER BLANC: No, I understand.

15 DR. MATTHEWS: Fifty percent doesn't work.

16 Well, if that's a limitation to them, I do think
17 that one limitation then of the entire approach -- not
18 limitation, but a context as we listen to this here is
19 that what we're thinking about is how would you use these
20 kinds of approaches for chemicals for which you don't
21 already have the answer. And what you're doing is you're
22 testing the -- you're doing the first step, which is a
23 necessary first step, which is how does the model perform
24 for those things for which we already have an answer? But
25 until you're able to -- and it sounds like for your model

1 for hepatotoxicity you have been able to test it
2 prospectively. But for most of these models you really
3 haven't tested it prospectively in any true sense.

4 DR. MATTHEWS: We're using these QSAR tools for
5 exactly the same purpose that you'd be using it to
6 evaluate 250 air contaminants. We use it for contaminants
7 in pharmaceutical preparations.

8 I mean pharmaceuticals don't come through a
9 hundred percent clean. And, in fact, when they change the
10 manufacturing process, you get a whole variety of other
11 contaminants that are in there. Now, you can't sit down
12 and reasonably expect a pharmaceutical company to
13 synthesize large batches of each one of the contaminants
14 and then perform a carcinogenicity study.

15 So what we do is we evaluate those contaminants
16 and say, okay, based on the parent chemical and, you know,
17 the activities of chemicals in a turning data set, there's
18 no increased risk.

19 PANEL MEMBER BLANC: Yeah, but you haven't tested
20 that because you have --

21 DR. MATTHEWS: No, of course. You can't, no,
22 because it's the question of testing 200 million chemicals
23 out there. You can't do it. You don't have the resources
24 to do that.

25 PANEL MEMBER BLANC: I'm not criticizing what

1 you're doing. I'm just trying to make a point of its
2 limitation. Until you have prospective data for how your
3 predictive model performs, you're actually -- it's a
4 heuristic exercise to an extent, isn't it?

5 DR. MATTHEWS: Yes, it is. And, you know, as I
6 say, there have been occasions where -- I mean the very
7 first paper that Joe and I published with carcinogenicity
8 had an external validation test in there. And the
9 statistics were identical to the model doing
10 cross-validation. We actually had a set of about, I don't
11 know, as I remember, 40 or 50 chemicals. They were the
12 newest ones. It was in our very first paper in '98. So
13 it did well.

14 CHAIRPERSON FROINES: Okay.

15 PANEL MEMBER HAMMOND: If I'm understanding you
16 correctly -- and this is following along with Paul's
17 ideas -- you build these models and they're based -- they
18 have some underlying mechanism of action. So I'm going to
19 be very simplistic. Let's just say one class of models is
20 working on the basis of alkylating agents and another
21 class may be basing on some sort of three-dimensional, you
22 know, shape. You know, just those two kind of things.
23 And you've got sets of models for each of those and you're
24 bringing all those different kinds of models together and
25 looking, right?

1 DR. MATTHEWS: (Nods head.)

2 PANEL MEMBER HAMMOND: And then as long as we're
3 talking about molecules that have alkylating agents that
4 are alkylating or they have some stereochemistry or some
5 three-dimensional shape that fits these, they'll be good
6 predictors. What would seem useful to me, and perhaps you
7 have this to build into it, is to say, okay, the
8 underlying things that are driving our models are these
9 factors -- I'm being simplistic now, but alkylating agent,
10 electrophilicity, whatever. Then it would seem to me
11 you -- if you -- your problem with these chemicals you
12 found in Europe in this paper that just were outside of
13 the realm of these models -- it would be useful if you had
14 a way that you could take a chemical and put it in and
15 say, "How well does this molecule fall into the models
16 that this has been dealing with?" So in other words, if
17 the system could say to you this chemical is outside of
18 the range of possibly to be predicted, that would be very
19 helpful in and of itself, as distinct from, you know, this
20 is in the realm of good prediction.

21 DR. MATTHEWS: Actually that's an absolutely
22 terrific idea. And I think that the May version of
23 MultiCASE will actually enable you to do that. I've been
24 asking. And they've been developing a procedure where you
25 can put one molecule back in at a time and assess how well

1 it fits into the overall model and at the specific
2 clusters within that model. Because there's always the
3 question of whether you accidentally, you know,
4 incorrectly scored something and put in, you know, a false
5 positive or a false negative into your training data set;
6 or in fact it was just a bad experiment. You know, it was
7 a negative. And if you really went back, you know, they
8 never reached the maximum tolerated dose, you know, with
9 that chemical.

10 PANEL MEMBER HAMMOND: I guess I'm kind of
11 saying --

12 DR. MATTHEWS: In fact, it probably was a
13 carcinogen.

14 PANEL MEMBER HAMMOND: Yeah, I'm saying -- we
15 understand that you can't do everything yet. You can't
16 predict everything yet. We'd all be --

17 DR. MATTHEWS: No.

18 PANEL MEMBER HAMMOND: But this is wonderful to
19 be moving towards it. But to be able to understand when
20 we're getting outside of the realm of the power of the
21 models to make a believable, credible prediction, just
22 knowing that would be very useful.

23 DR. MATTHEWS: Well, that's what coverage is all
24 about. In other words it's the domain of applicability,
25 that's -- the OECD has a document on how you're supposed

1 to do QSAR research and all the principles. And one of
2 them, which is to assess that domain and whether your
3 molecule is part of it or not. So each one of these
4 programs uses a different paradigm for that. But --

5 PANEL MEMBER HAMMOND: -- but it will give you
6 that?

7 DR. MATTHEWS: -- it will tell you that, oh,
8 yeah.

9 PANEL MEMBER HAMMOND: So that you can
10 actually -- those 20 compounds from Europe that were you
11 talking about -- now, that was hepatotoxicity, I think.

12 DR. MATTHEWS: Yeah.

13 PANEL MEMBER HAMMOND: But those -- the program
14 could also come out and say, "We're not really well suited
15 to predict these chemicals" --

16 DR. MATTHEWS: Absolutely, yeah.

17 PANEL MEMBER HAMMOND: -- as distinct from
18 saying, "Oh, these are safe"?

19 DR. MATTHEWS: No, no. It would -- and, in fact,
20 you know, I mean when you put like a Toska data set
21 through some of these models, they say, "Oh, my God, what
22 in the world is this?"

23 PANEL MEMBER HAMMOND: Somehow because it was
24 generated from pharmaceut -- because the data set --
25 because those models were generated from pharmaceuticals,

1 right?

2 DR. MATTHEWS: Yeah. I mean it's out of
3 pharmaceutical molecule --

4 PANEL MEMBER BLANC: And of the -- in something
5 like this with the hepatobiliary effects where you have
6 120,419 study records, those would actually be cases
7 within case reports?

8 DR. MATTHEWS: Patient reports, yes.

9 PANEL MEMBER BLANC: So this would be patient
10 reports?

11 DR. MATTHEWS: Yeah.

12 PANEL MEMBER BLANC: So, for example, if a case
13 series had ten patients, that would count as ten study
14 records?

15 DR. MATTHEWS: Yes.

16 PANEL MEMBER BLANC: That's why the numbers are
17 so much higher than the number of chemicals?

18 DR. MATTHEWS: That's right. The overall
19 database is actually about ten million. It represents
20 every patient report that's come in at our Med Watch
21 program since 1969. So it's actually ten million.

22 PANEL MEMBER BLANC: So for this program this is
23 completely derived from Med Watch, for example?

24 DR. MATTHEWS: Yes. Well, no.

25 PANEL MEMBER BLANC: Or would it also be cases

1 published in the literature that --

2 DR. MATTHEWS: That's exactly right.

3 PANEL MEMBER BLANC: -- that weren't ever in Med
4 Watch?

5 DR. MATTHEWS: But Med Watch doesn't take into
6 account drugs that have failed in Europe. And it's a
7 serious deficiency. There's a lot of drugs that never get
8 marketed here, but they have the same type of findings.
9 So, you know, we knew that was important from QSAR's
10 perspective, so we actually reviewed the literature as
11 well as the Med Watch. So both of them are in there.

12 PANEL MEMBER BLANC: Okay. And the same thing
13 would be true of the next slide with the urinary tract?

14 DR. MATTHEWS: Yeah. Urinary tract, yeah.
15 There's a kidney and bladder.

16 --o0o--

17 DR. MATTHEWS: And I got to put up this slide
18 simply because it's a UC Berkeley. Anna is doing this
19 whole thing. She's absolutely remarkable. This is her --
20 she calls it her firstborn child. But she has literally
21 put this thing together. She has captured all of the Med
22 Watch patient reports from our old spontaneous reporting
23 system, and then the MERS system that we're using right
24 now. She's reviewed the literature. And she's into her
25 second QSAR program as we speak. So I mean it's just

1 amazing. That's what she's doing.

2 --o0o--

3 DR. MATTHEWS: Oh, let me go to the very last
4 slide.

5 There's a series of publications. But this is a
6 website. And it has a list of our publications and things
7 and the web links to the various QSAR programs.

8 Okay. I'm sorry I took so long.

9 CHAIRPERSON FROINES: If someone wants to use
10 either EPA or your QSAR efforts, if one had questions, do
11 we have to then go buy or get site licenses of one kind or
12 another? Or is it something that one can go to EPA or FDA
13 for --

14 DR. MATTHEWS: All of the EPA programs are for
15 free. So you could immediately -- your organization could
16 immediately get the OECD QSAR toolbox, which is more than
17 just QSAR tools. It actually has a Norris data set in
18 there. I mean it would be really helpful for most of your
19 projects. In addition to which the EPA's suite of
20 programs is free and they have training. So, you know,
21 it's easy to contact their people.

22 CHAIRPERSON FROINES: Well, like for the
23 metabolism program.

24 DR. MATTHEWS: Oh. Now, the other two -- you
25 know, you have to kind of -- no, the other ones are not.

1 Our program -- our research is not really supported by the
2 center. I mean we get a little bit of money. But we've
3 supported it through leveraging with agreements with
4 software companies. I mean they have to modify the
5 programs and they have to help us out at each step of the
6 way. And then we get a small contribution back that we
7 use to keep building the training a data set.

8 So the licenses for these programs vary
9 tremendously. And it depends on, you know, what you think
10 your needs are going to be. The prices are coming down
11 though because it's getting competitive.

12 CHAIRPERSON FROINES: Does OEHHA --

13 PANEL MEMBER BYUS: What are we talking about?

14 DR. MATTHEWS: You know, I honestly don't know.
15 I mean I try -- in fact, I make it a point not to know,
16 because I don't want to get into that discussion.

17 They're all small companies, so they -- you know,
18 there's deals that can be made.

19 (Laughter.)

20 CHAIRPERSON FROINES: Does OEHHA have --

21 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

22 MANAGER MARTY: Money?

23 (Laughter.)

24 CHAIRPERSON FROINES: No, I know what you have
25 moneywise. And that's --

1 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

2 MANAGER MARTY: It's pretty sad.

3 PANEL MEMBER HAMMOND: Could we call that
4 supporting small company development, small business in
5 California? Do it that way?

6 (Laughter.)

7 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

8 MANAGER MARTY: Yeah. We actually are -- we have the EPA
9 suite of software because it's available on line. And in
10 January -- mid-January we're sending a dozen staff to be
11 trained in the use of the EPA QSAR software. So there's
12 some of that. But it doesn't -- they're more -- less the
13 global software and more the narrower congener-based
14 software applications. So it's --

15 CHAIRPERSON FROINES: Well, that's good.

16 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

17 MANAGER MARTY: Yeah. And we're looking into the OECD
18 package too because it's got more global inputs than the
19 EPA packages.

20 CHAIRPERSON FROINES: Are there other questions
21 for Ed at this point?

22 PANEL MEMBER BLANC: Well, I just would
23 reemphasize that I think that in this kind of presentation
24 it would be really interesting for us -- and maybe you
25 could send a couple of slides out just by e-mail to Peter

1 that he could distribute on the specific results that
2 you've had that you refer to when you did your validation
3 testing on external supplemental groups of chemicals. I
4 think that would be very interesting, if you have any -- I
5 don't --

6 DR. MATTHEWS: It's in the papers.

7 PANEL MEMBER BLANC: Well, I don't want you to
8 make prepared slides. But if you already have them
9 somewhere else --

10 DR. MATTHEWS: Actually I'd prefer that you
11 actually went to the individual publication, because it
12 has all the details and it has the actual names of the
13 chemicals and the ones that are used.

14 Now, the hepatotoxicity paper is not out yet, but
15 it will be out next year. But I have some external
16 validation studies. As I say, usually we can't do that.
17 There just isn't enough data. But we try to whenever we
18 can.

19 CHAIRPERSON FROINES: Gary.

20 PANEL MEMBER FRIEDMAN: Have you looked at any
21 chemicals that are in herbal remedies, or is the FDA not
22 allowed to do that?

23 DR. MATTHEWS: Well, Congress doesn't want us
24 messing in that area. That's the simple way to answer
25 your question.

1 Actually, the new fella that's on the list, Luis
2 Valerio, that's his personal interest. He's a
3 pharmacologist. And he has a couple of ongoing research
4 relationships, one of which involves herbal -- actually
5 it's dietary -- herb --

6 PANEL MEMBER FRIEDMAN: Dietary supplements?

7 DR. MATTHEWS: Dietary supplements. It's not
8 herbal. But it took -- it's hard to distinguish the two,
9 frankly, because they're all plant substances.

10 PANEL MEMBER FRIEDMAN: Yeah, I wasn't sure even
11 what to call it.

12 DR. MATTHEWS: Yeah. So there's an institute in
13 Mississippi that's really at the forefront of that. And
14 we may get involved with that.

15 CHAIRPERSON FROINES: Thank you very much.

16 DR. MATTHEWS: You're welcome.

17 CHAIRPERSON FROINES: Very pleased. And you'll
18 hear from us again.

19 (Laughter.)

20 CHAIRPERSON FROINES: So this is good. I should
21 have given my talk after you and then we could have
22 compared results.

23 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

24 MANAGER MARTY: John, go ahead if you want.

25 CHAIRPERSON FROINES: No, no. Believe me. I was

1 so beaten up last night on this subject, that I'm happy to
2 wait till February.

3 (Laughter.)

4 CHAIRPERSON FROINES: Go ahead.

5 (Thereupon an overhead presentation was
6 Presented as follows.)

7 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

8 MANAGER MARTY: Okay. I just developed a really few slide
9 and I'm going to go through them pretty quickly and
10 probably skip a few in the interests of time.

11 But you will hear shortly from ARB on the
12 prioritization method they've been using and the changes
13 they're proposing to look at chemicals as potential
14 candidate toxic air contaminants. And I just developed a
15 few thoughts after talking with Dr. Froines on things that
16 could happen in the future.

17 --o0o--

18 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

19 MANAGER MARTY: And I just wanted to remind the Panel that
20 we did a prioritization of toxic -- I already identified
21 toxic air contaminants that we thought may
22 disproportionately impact kids back in 2001. And we used
23 a consideration of exposure looking at what data there are
24 available for ambient air measurements, emission
25 inventories, both mobile and stationary, to consider

1 whether there's widespread exposures. So that's one piece
2 of a prioritization: Is it out there? And is there a lot
3 of exposure?

4 We also considered the toxicity of the compound
5 in light of its susceptibility of immature organisms. So
6 that was another important component of that
7 prioritization, because you don't always have the data
8 that you want on exposure or toxicity.

9 And then the other thing we did was we had a
10 ranking of the chemical by toxicity and exposure, where
11 those data were available. So things got attention by
12 virtue of 2 and 3, which are not -- I realize it says 1
13 through 2.

14 --o0o--

15 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

16 MANAGER MARTY: And also to remind you --

17 PANEL MEMBER BYUS: Just how you said priorities.
18 Never mind.

19 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

20 MANAGER MARTY: So George actually mentioned these earlier
21 today, that we had toxicological endpoints that we think
22 raise flags when you're talking about exposure to immature
23 organisms, including obviously developmental tox,
24 neurotox, endocrine disruption, immuno, respiratory -- and
25 we included asthma in that -- gene tox, and

1 carcinogenicity. And as you'll hear in a minute, these
2 considerations have now been incorporated into ARB's
3 prioritization strategy.

4 --o0o--

5 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

6 MANAGER MARTY: Then as I mentioned earlier before Ed gave
7 his talk, we have been looking at how other organizations
8 have looked at large lists of chemicals and moved things
9 up to the top of concern for potential action.

10 Environment and Health Canada, both agencies, were
11 mandated under the Canadian Environmental Protection Act
12 to look at all the chemicals in commerce in Canada and to
13 prioritize them so that there can be some actions towards
14 the chemicals of most concern.

15 So they actually looked at 23,000 substances and
16 developed this prior paradigm by which to prioritize.
17 They considered the greatest potential for exposure. So
18 they had information that fed into that, including
19 persistence and bioaccumulation. And they considered
20 where the chemicals were toxic, either in humans or if
21 they had -- they focused also a lot on the environmental
22 wildlife -- impacts on wildlife. So they looked at
23 nonhuman organisms too.

24 And then this categorization essentially
25 represented a priority-setting exercise so that they could

1 systematically identify substances that should be looked
2 into more closely for screening assessments and possibly
3 control strategies. And this kind of thinking is relevant
4 to looking at candidate TACs.

5 --o0o--

6 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

7 MANAGER MARTY: So I just wanted to note they had specific
8 criteria in their prioritization for persistence in
9 various media and for measurements of bioaccumulation.
10 And then that third bullet is for aquatic tox. So they
11 had cutoff criteria. If the chemical, the LC50, was below
12 one milligram per liter, it went into a separate bin and
13 so forth.

14 --o0o--

15 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

16 MANAGER MARTY: So actually their prioritization results
17 are available on CD, and I now have a copy of that. And I
18 did want to point out that they used different -- they
19 used tools that they developed themselves. This took
20 seven years and 60 PY. So just to give you an idea as to
21 what we were talking about in terms of resources.

22 Yeah, I'm not sure that we have that many
23 people in OEHHA.

24 So I wanted to mention that they developed
25 exposure tools and they also had hazard tools that they

1 use. So we can look at these things and say, wow, can we
2 look -- can we use any of this type of information? And
3 they also used quantitative structure activity models.

4 --o0o--

5 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

6 MANAGER MARTY: Their simple exposure too was just a
7 relative ranking by which substances were binned on the
8 amount produced in Canada -- the number of produces and
9 the amount imported as well and uses.

10 So for uses they looked at, you know, do people
11 use it like right up close in their face? Is this like a
12 solvent that you would use? And that they weighed heavily
13 actually in their prioritization. So that was
14 interesting.

15 Then they had more complex exposure tool, which
16 they called the ComET, which looked at basically fugacity
17 modeling. So I believe it was Don Mackay that did most of
18 this work. And to provide bounding estimates of both
19 consumer exposure, what they termed nearfield, and
20 multimedia exposure of the general populations, which they
21 term farfield.

22 And they actually had by age group in there too.
23 So they considered that kids have different activities.
24 And so we're going to take a look at how they did all
25 that.

1 --o0o--

2 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

3 MANAGER MARTY: Note a couple different hazard tools. One
4 was they called the simple hazard tool. And basically
5 they just ran through other organizations' groupings of
6 genotoxicants, repro, carcinogens, developmental. And
7 they did look at Prop 65, and I should have put it on
8 here.

9 And their assessments, they selected various
10 assessments from these different based on the
11 comprehensiveness of the review and whether it had been
12 peer reviewed.

13 So that was their simple hazard tool just as a
14 first cut.

15 Are any of these chemicals on these other lists?

16 --o0o--

17 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

18 MANAGER MARTY: Then for their more complex hazard tool
19 they looked at specific endpoints and specific information
20 sources. And here's where their QSAR came in. So they
21 used QSAR tools to look at carcinogenicity and gene tox,
22 developmental tox, and then chronic and cute tox.

23 They also where they had data set criteria for
24 binning the compounds into high versus medium or low
25 hazard. And if the NOAELs, for example, for repro tox

1 were less than or equal to ten milligrams per kilogram
2 day, it went into a higher concern category. So my point
3 really is is that they developed specific criterion by
4 which to do this analysis.

5 --o0o--

6 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

7 MANAGER MARTY: Ed talked about the FDA tools. And he did
8 mention that EPA has a lot of tools for screening. And we
9 couldn't -- unfortunately we couldn't get the folks we
10 really wanted to out here because there's apparently a big
11 meeting in Paris that they're all going to.

12 We should have gone there. What were we
13 thinking?

14 So, anyway, they do have QSAR-predictive tox
15 models --

16 PANEL MEMBER BYUS: We've got Ed.

17 (Laughter.)

18 PANEL MEMBER BYUS: Ed, thank you very much.
19 Really outstanding presentation.

20 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

21 MANAGER MARTY: So Ed mentioned oncologic, which came out
22 of EPA.

23 ECOSAR is one that actually focuses on nonhuman
24 endpoints. So they look at ecologic toxicity data and
25 they have these quantitative SAR models for that.

1 And then they also use exposure models. So they
2 have somewhat -- relatively crude actually exposure
3 models. But, you know, you got to do what you got to do.
4 And they're all on the web and you can get those.

5 --o0o--

6 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

7 MANAGER MARTY: I'm going to skip that one. And Ed
8 already talked about that.

9 --o0o--

10 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

11 MANAGER MARTY: Well, let's go over to some suggestions
12 that we've been batting around at OEHHA. One came
13 actually out of a conversation with Dr. Atkinson where we
14 mentioned this atmospheric transformation model at the
15 University of Leeds. And we thought, hey, would it not be
16 fun to take a couple of compounds, run them through this
17 University of Leeds model, and then take all the
18 products -- and apparently it runs it through to basically
19 the end of its possible transformation -- and then take
20 all those products and run them through QSAR models. So
21 that was kind of a fun idea that we had.

22 The other thing that we obviously should be doing
23 is looking at the OEHHA gasoline document that Lauren
24 Zeise and Sara Hoover and crew put together. And there
25 are compounds identified in that document as atmospheric

1 transformation products from gasoline emission chemicals.

2 U.S. EPA has looked at high production volume
3 chemicals, and they now have gathered a whole bunch of
4 data on those chemicals and are putting it all together.
5 And it's available publicly. We should look at those
6 chemicals and see what they're saying about toxicology of
7 those chemicals.

8 And then also look at the chemicals identified as
9 high use in Canada or high concern and see if there's
10 anything we can glean from those programs.

11 And then already ARB asks the districts, do you
12 guys have any chemicals that you're concerned about from
13 specific sources? So that already is incorporated.

14 --o0o--

15 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH
16 MANAGER MARTY: So another couple of suggestions. OEHHA
17 evaluates identified chemicals, not just through the
18 literature. I would see that would be one thing we would
19 do is look immediately what do we know about this
20 chemical. But also can we use any QSAR models to flag
21 some of these things as chemicals that we should be
22 concerned about.

23 And I mentioned we're having some training and
24 we're looking at the OECD models. And this would result
25 in bringing more information to bear than we currently do,

1 one prioritizing candidate TACs.

2 --o0o--

3 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

4 MANAGER MARTY: And I -- am I going backwards? I
5 mentioned that we should evaluate the Canadian
6 prioritization process and look closely at what they did
7 and what they managed to gather, which is actually all on
8 their website. And every three months they're putting up
9 an additional 15 toxicology profiles for their identified
10 chemicals of concern. And also the HPV.

11 So it's and well and good to flag chemicals of
12 concern. But you still have to go through the whole
13 regulatory process. And you're always going to run into
14 this issue, is there enough data to use to identify a
15 chemical as a TAC? Is there exposure data? Can we
16 actually even measure the chemical? Is there analytic
17 methodologies for some of these atmospheric transformation
18 products that we know are probably out there but we don't
19 know the levels?

20 And in terms of toxicity data, you know, we've
21 never been so bold as try to base a regulatory decision on
22 a QSAR model. So, you know, they're not necessarily going
23 to tell you that something is not a bad actor. But there
24 may be plenty of flags for a chemical or two that it is a
25 bad actor, yet you lack the animal study. So what -- it

1 brings into -- it begs the question, what do you do with
2 those?

3 And then the other thought we had was can't we
4 move more towards identifying classes with related
5 toxicity? And we've talked about this before. We've done
6 it before. We have the dioxy polychlorinated dibenzo
7 dioxin furans and the PCB congeners identified as groups.
8 We identified ETS and diesel. So I think it's probably
9 something that we could work a little more towards.

10 So that's all I had to add.

11 DR. MATTHEWS: What took us so long in getting to
12 where we are right now is in putting together the chemical
13 structures is in putting the chemical structures together
14 into a database. And now that's -- you know, if you were
15 to start today, you could get your hands on the Toska
16 database from U.S. EPA, you can get -- you know, and it
17 would have the smile codes or mole files. You can get
18 from the Danish EPA the data set that's being used in the
19 OECD right now. That's about 176,000 chemicals. You
20 know, all of this information is out there. And, you
21 know, believe me, when you can knock that off as one of
22 the tasks you don't do, and if you can go through your
23 toxic air contaminants, if you're looking at a list of
24 250, you would have already had virtually all of the
25 structures and, furthermore, a lot of structures of

1 chemicals very similar to them. So, you know, right off
2 the base you'd be able to start with a powerful, powerful
3 data set for that project. And they're out there.
4 They're freely available.

5 CHAIRPERSON FROINES: I'm a little confused,
6 because I read the GAO study on Toska, which is very
7 devastating to say the least. And the implication from
8 the GAO study on Toska was that one of the major problems
9 with Toska has been the lack of accessibility to data
10 which has been kept confidential for business purposes.

11 DR. MATTHEWS: No. What I'm talking about is the
12 chemical structures, not the actual toxicologic data.

13 But, believe me, that ends up being a tremendous,
14 tremendous task getting all those structures right, you
15 know, the right confirmations, et cetera, et cetera, It's
16 an enormous task. We have a chemist that that's -- she
17 spends all her time doing this, trying to get it right.

18 And, you know, you can get the data set from --
19 the Canadians have offered that up. The Danish EPA has
20 their data set. So I mean overnight you could have a data
21 set of 200,000 chemicals, which would cover just about
22 anything that you're going to run into. And, as I say
23 also, you know, very similar chemicals as well.

24 CHAIRPERSON FROINES: Okay. Melanie, just as a
25 complete aside. I'm on an expert panel in Canada for

1 Health Canada and we're looking at the statistics and the
2 availability of all the data in Canada on health outcomes.
3 And it would be very interesting at some point to take
4 what we're doing and what you are doing and see if we
5 could connect any of that. This will be on all health
6 data for the entire country. So we'll see.

7 Questions for Melanie?

8 PANEL MEMBER BLANC: Melanie, you didn't mention
9 Reach. Do you think there's something a apropos for that?

10 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

11 MANAGER MARTY: Yeah. Reach is not yet implemented. They
12 just started implementation this past summer. And when
13 they get information compiled that they can put out there,
14 then we will definitely look at it. They do already have
15 a criterion for persistence and bioaccumulatives.

16 CHAIRPERSON FROINES: In the document that we're
17 writing for the state, for Cal EPA, we are going to argue
18 that Reach is looking at too limited a number of outcome
19 measures and that OEHHA should be able to -- should be
20 able to look at multiple outcome measures in terms of
21 prioritization for green chemistry purposes, that there --
22 we think that one should look at ten outcome measures and
23 not three -- or more.

24 PANEL MEMBER BLANC: Oh, I think it's going to be
25 the case will all of these things, that it's -- it's when

1 something appears on one of the lists that you're
2 interested in. It's not so much that if it doesn't appear
3 on their list, you're home free. And if it appears on
4 multiple lists, it makes your task that much easier.

5 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

6 MANAGER MARTY: Yes.

7 CHAIRPERSON FROINES: Looks like we have -- you
8 slipped by without giving us a list. But I guess we'll
9 let you go because you're obviously not ready to do that.
10 But it would be really nice to see some chemicals on the
11 board.

12 (Laughter.)

13 CHAIRPERSON FROINES: Thanks, Melanie.

14 I think we have time for ARB. I think you and I
15 are going to present next time. And I know you predicted
16 it, and I was more optimistic.

17 Melanie, I think it would be useful sometime in
18 the future to have a session that went on for an hour or
19 so, two hours, that would deal with the Lauren Zeise
20 toxicity testing NAS report, because I don't think the
21 Panel is necessarily familiar with that. And I think that
22 would be -- the issue is what are the endpoints that are
23 useful and high throughput assays and other approaches.

24 And the question for us obviously is: Are they
25 validated, can they be used -- are they mature enough to

1 be used in a regulatory context? And so maybe Lauren
2 could come and tell us.

3 OEHHA AIR TOXICOLOGY & EPIDEMIOLOGY BRANCH

4 MANAGER MARTY: Sure.

5 (Thereupon an overhead presentation was
6 Presented as follows.)

7 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:

8 As we're getting ready for our presentation, let
9 me just make some -- Oh, I'm sorry.

10 My name is Jim Aguila, Manager of the Substance
11 Evaluation Section. And Peter's passing out a packet to
12 each of you.

13 I'll just point out that we're just going to go
14 ahead and go into the presentation. The other documents
15 that are included in your packet are basically serving as
16 more detailed backup documents that we could use if you
17 wanted to have a more substantive conversation on some of
18 the items.

19 CHAIRPERSON FROINES: Can I ask you a question?

20 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:

21 Absolutely.

22 CHAIRPERSON FROINES: I'd like to close the
23 meeting about 3:15 for people's travel time. Do you think
24 you'll be more than a half hour?

25 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:

1 No, our presentation takes about 15 minutes.

2 CHAIRPERSON FROINES: Because, otherwise, I think
3 Tobi would be shorter than you.

4 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:
5 Our presentation takes about 15, 20 minutes.

6 CHAIRPERSON FROINES: Okay. And then if we need
7 to, we can bring you back at the next meeting just to
8 finish up.

9 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:
10 Okay. So it's okay to proceed then, Dr. Froines?

11 CHAIRPERSON FROINES: Absolutely.

12 Okay. Well, I'll go ahead and introduce Susie
13 Chung of our staff to give the presentation.

14 CHAIRPERSON FROINES: No, I see her e-mails all
15 the time.

16 (Laughter.)

17 ARB AIR POLLUTION SPECIALIST CHUNG: Good
18 afternoon.

19 Good afternoon, Dr. Froines and members of the
20 Scientific Review Panel. I'm Susie Chung of the Substance
21 Evaluation Section at the Air Resources Board.

22 --o0o--

23 ARB AIR POLLUTION SPECIALIST CHUNG: In today's
24 presentation, I'll begin with some background on our
25 efforts to prepare a toxic air contaminants identification

1 program plan and then give you an overview of the 1993
2 priority setting methodology.

3 Next I will introduce the proposed priority
4 setting methodology that we would use in the plan update,
5 and to follow up with a discussion of the basis and point
6 assignments.

7 I will then review some examples of the results
8 we obtained using the proposed methodology to rank the
9 candidate toxic air contaminant and currently listed toxic
10 air contaminants.

11 I'll conclude with our plans for future work.

12 --o0o--

13 ARB AIR POLLUTION SPECIALIST CHUNG: I'll begin
14 with the background information on the Toxic Air
15 Contaminants Identification Program Plan, and then move on
16 to the Air Resources Board's Toxic Air Contaminants
17 Program framework.

18 --o0o--

19 CHAIRPERSON FROINES: That wasn't an indication
20 that you're going to take up isoprene from trees?

21 (Laughter.)

22 ARB AIR POLLUTION SPECIALIST CHUNG: In January
23 of this year we talked to you about a schedule for
24 preparing a toxic air contaminants identification program
25 plan. This slide shows the evidence of the plan.

1 We worked with the Office of the Environmental
2 Health Hazard Assessment and together completed a draft of
3 the first item, updated priority setting methodology.
4 This work is the focus of today's presentation.

5 --o0o--

6 ARB AIR POLLUTION SPECIALIST CHUNG: This slide
7 shows the process outlined in state law for the
8 identification of toxic air contaminants. As you can see
9 from the flow chart, the process begins with the priority
10 setting and selection of a substance of concern.

11 --o0o--

12 ARB AIR POLLUTION SPECIALIST CHUNG: This slide
13 shows the steps in the risk management process. Once a
14 substance is identified by regulation as a toxic air
15 contaminant, the law requires us to -- this process to
16 assess the need for further risk reduction measures.

17 --o0o--

18 ARB AIR POLLUTION SPECIALIST CHUNG: Returning to
19 the priority setting step in the toxic air contaminant
20 identification phase of the program, state law requires us
21 to consider the factors shown here.

22 CHAIRPERSON FROINES: Can I ask you a question?

23 The actual definition in the law, I don't
24 remember -- you put risk of harm to public health. Does
25 anybody remember what it actually says? Because it's --

1 the reason I raise it is that it's fairly broad in scope.

2 And I just wanted to remind the Panel of --

3 ARB AIR QUALITY MEASURES BRANCH CHIEF BROOKS:

4 The definition of toxic air contaminant is
5 broader. And I can read that to you.

6 "Toxic air contaminant means an air pollutant
7 which may cause or contribute to an increase in mortality
8 or in serious illness or which may pose a present or
9 potential hazard to human health."

10 And then it goes on to say that a substance
11 that's listed as a hazardous air pollutant by the federal
12 government is also included.

13 CHAIRPERSON FROINES: So the Panel should be
14 aware of how broad the definition is. Because it may --
15 over time it may have been -- so please continue.

16 --o0o--

17 ARB AIR POLLUTION SPECIALIST CHUNG: I'll now
18 discuss the 1993 priority setting methodology.

19 --o0o--

20 ARB AIR POLLUTION SPECIALIST CHUNG: For purposes
21 of the Toxic Air Contaminant Identification Program, the
22 methodology as screening tool serves two main functions:

23 First, it is a screening tool used to rank -- so
24 the system may have high, medium, or low impact on public
25 health in California. This serves as the technical basis

1 for recommendation on which candidate toxic air
2 contaminants should be considered for formal
3 identification as a toxic air contaminant in California.

4 --o0o--

5 The methodologies also tool they would use rank
6 the substance that are already on toxic air contaminants
7 list to identify substances that may need health value for
8 risk management.

9 --o0o--

10 ARB AIR POLLUTION SPECIALIST CHUNG: The priority
11 setting methodology used over the years was originally
12 approved by the Scientific Review Panel in 1990 and
13 revised in 1993. In the 1993 methodology there were eight
14 categories in which a substance could be already awarded
15 up to 40 points.

16 The eight categories are used to characterize the
17 range of cancer and non-cancer health effects a substance
18 is reported to have, as well as the extent of a public
19 exposure to the substance.

20 --o0o--

21 ARB AIR POLLUTION SPECIALIST CHUNG: After having
22 some experience with the 1993 methodology, we concluded
23 that a number of changes should be made as shown on this
24 slide.

25 As part of the review, we considered new

1 legislation requiring have to account for impacts to
2 children's health as well as the availability of reputable
3 health impact information that we can consider in the
4 priority-setting process.

5 --o0o--

6 ARB AIR POLLUTION SPECIALIST CHUNG: I'll now
7 discuss our proposed priority setting methodology.

8 --o0o--

9 ARB AIR POLLUTION SPECIALIST CHUNG: Today we're
10 proposing a number of changes to the 1993 methodology.
11 This revised approach is nine categories, worth a total of
12 36 points.

13 The main element of the 1993 methodology, cancer
14 health effects, non-cancer health effects, and the
15 exposure parameters remains as the fundamental criteria
16 for evaluating a substance's potential public health
17 impact in California.

18 --o0o--

19 ARB AIR POLLUTION SPECIALIST CHUNG: In the
20 following slides I'll discuss the basis and point
21 assignments for the categories in the proposed
22 methodology.

23 --o0o--

24 ARB AIR POLLUTION SPECIALIST CHUNG: The cancer
25 classification category serves the same function as it did

1 before. However, we propose to add consideration of the
2 studies conducted by the National Toxicology Program.

3 In the proposed methodology, substances have
4 either a high, medium, or low cancer potential.

5 Substances with a high potential include the compounds
6 that are known probable or possible human carcinogens by
7 the U.S. Environmental Protection Agency or International
8 Agency for Research on Cancer, or if there's a clear
9 evidence that they are carcinogenic by the National
10 Toxicology Program.

11 Substances that are unclassifiable by the U.S.
12 Environmental Protection Agency or International Agency
13 for Research on Cancer or have some evidence of
14 carcinogenicity by the National Toxicology Program receive
15 2 points. If no data exists or for a compound with no or
16 low carcinogenic potential, 0 points will be assigned.

17 --o0o--

18 ARB AIR POLLUTION SPECIALIST CHUNG: This
19 category allocates points for substances based on the
20 number of organ systems having adverse non-cancer health
21 effects. No changes are proposed for this category.

22 --o0o--

23 ARB AIR POLLUTION SPECIALIST CHUNG: This
24 category serves to account for non-cancer chronic, acute,
25 or reproductive effects in adults.

1 --o0o--

2 ARB AIR POLLUTION SPECIALIST CHUNG: We're
3 proposing the addition of a children's health category.
4 For this category, staff from the Office of Environmental
5 Health Hazard Assessment have recommended that the
6 criteria for point assignments be based on evidence of the
7 eight cancer or non-cancer effects listed in this slide.

8 --o0o--

9 ARB AIR POLLUTION SPECIALIST CHUNG: In this
10 proposed methodology, points should be assigned as shown
11 here.

12 --o0o--

13 ARB AIR POLLUTION SPECIALIST CHUNG: In this
14 category, up to 2 points can be awarded to substances that
15 persist or bioaccumulate. The log of KOW or a long
16 biological half life of a substance was not specifically
17 considered in the 1993 methodology.

18 CHAIRPERSON FROINES: Can I ask you a question?

19 Let's take lead, for example. And it would be
20 under number of organ systems adversely affected,
21 presumably. And in all your categories you're talking
22 about the number of systems that are affected. But if I
23 were making a decision about whether to bring lead to the
24 panel as the TAC, I would immediately throw out renal
25 effects, because you don't see renal effects until the

1 person's almost got no kidneys left. And I would -- and
2 heme synthesis impairment is reversible upon leaving the
3 workplace. And so what you would make your decision on
4 with lead of course would be neurologic effects. And so
5 the danger in what you're doing here is --

6 PANEL MEMBER BYUS: Cardiovascular.

7 CHAIRPERSON FROINES: What?

8 PANEL MEMBER BYUS: But that's one of the things
9 we made our decision on, was the cardiovascular effects.
10 Hypertension

11 CHAIRPERSON FROINES: Oh, yeah. Okay, okay. So
12 you -- no, but the point is --

13 PANEL MEMBER BYUS: So it's only -- It's not just
14 neuro.

15 CHAIRPERSON FROINES: The point is, if you
16 have -- if you have two systems affected -- I'll buy --
17 you know, we could list a million things with --

18 PANEL MEMBER BYUS: But I mean we actually did
19 make decisions on that based on --

20 CHAIRPERSON FROINES: Okay. So let me just agree
21 that we have two systems affected. But we would clearly
22 put -- have to have a way to put lead way up because of
23 the neurologic consequences in children.

24 So the danger of having it based on number of
25 systems affected is that it doesn't deal with severity.

1 PANEL MEMBER BLANC: Isn't that -- if I
2 understand it correctly -- haven't gotten that far, I
3 guess. But your comments health score is partly to allow
4 some of that qualitative sense to be factored in, is that
5 the goal of that?

6 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:
7 Dr. Blanc -- yeah, this is Jim Aguila.

8 Dr. Blanc, that's correct. Actually lead under
9 our current prioritization would receive 4 points. But in
10 addition to that we also have accounted for severity of
11 health effects in the "comment" column, as you mentioned.

12 CHAIRPERSON FROINES: In the what? Where --

13 PANEL MEMBER BLANC: The final column, that's
14 health -- comments health score.

15 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:

16 Dr. Froines, we're jumping a little bit ahead.
17 We're actually going to cover that.

18 CHAIRPERSON FROINES: No, don't worry. Go ahead.
19 I'm sorry I raised it. I don't mean to take your time.

20 ARB AIR POLLUTION SPECIALIST CHUNG: Okay. We'll
21 continue with this.

22 In this category, up to 2 points can be awarded
23 to substances that persist or bioaccumulate. The log of
24 KOW or a long biological half life of a substance was not
25 specifically considered in the 1993 methodology. Note

1 that for assigning points in this category the persistent
2 bioaccumulative toxic profiler or a PTB Profiler would be
3 used.

4 The PBT Profiler is a program available from the
5 U.S. Environmental Protection Agency that uses computer
6 estimation methods to identify persistent bioaccumulative
7 and toxic chemicals based on chemical structure and
8 physical chemical properties.

9 It then compares these results with a
10 well-defined set of criteria for these three category to
11 identify chemicals that exceed the criteria threshold.

12 The PBT Profiler is an example of a quantitative
13 structure activity relationship model.

14 PANEL MEMBER FRIEDMAN: Could you explain what
15 you mean by log KOW equals 2 -- parenthesis, Log KOW value
16 is greater than 3? I don't understand how the "greater
17 than 3" relates to the 2.

18 ARB AIR POLLUTION SPECIALIST CHUNG: Log KOW --
19 this is number of point system --

20 PANEL MEMBER BLANC: You'd get 2 points if you
21 had the log greater than 3.

22 PANEL MEMBER FRIEDMAN: Oh, I see.

23 PANEL MEMBER BLANC: It's the weighting system.

24 PANEL MEMBER FRIEDMAN: Oh, okay.

25 And is KOW the half life?

1 PANEL MEMBER ATKINSON: No, it's a nocturnal
2 water partition coefficient.

3 PANEL MEMBER FRIEDMAN: Beg your pardon?

4 PANEL MEMBER ATKINSON: It's a nocturnal water
5 partition coefficient.

6 PANEL MEMBER FRIEDMAN: Oh, I have no idea what
7 that is.

8 PANEL MEMBER ATKINSON: Essentially it tells you
9 how well it bioaccumulates or how well it goes into fatty
10 tissues.

11 --o0o--

12 ARB AIR POLLUTION SPECIALIST CHUNG: In this
13 category points are awarded to substances that are the
14 primary drivers of cancer or non-cancer health risk at
15 facilities for which health risk assessment was required
16 under the Air Toxics Hot Spots Program.

17 This category is not new, but we have reduced the
18 maximum points possible because the risk assessment
19 information is dated.

20 --o0o--

21 ARB AIR POLLUTION SPECIALIST CHUNG: In this
22 category, the basis for awarding points is the total
23 statewide candidate toxic air contaminant emissions from
24 mobile, industrial, and area sources.

25 PANEL MEMBER BLANC: Can I ask a question about

1 that?

2 How hard would it be to convert your tons per
3 year into an equivalent molar exposure? I mean do we
4 really care about the weight or do we care about how many
5 molecules are out there?

6 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:

7 Dr. Blanc, what we're trying to do is account for
8 whatever information we have on exposure.

9 PANEL MEMBER BLANC: No, but wouldn't it be
10 rather easy to weight that rather than by -- in other
11 words you're giving importance to two factors - how much
12 is released but how heavy the material is. And is that
13 really what you want to do? Or do you care if there are a
14 whole lot more molecules of a toxin out there? In other
15 words would I care how many tons of tetrotoxin was
16 released into the atmosphere or botulism toxin? No, I
17 would care about how many molecules of botulism toxin.

18 Do you see what I'm saying? I mean you're
19 obviously going to be --

20 PANEL MEMBER BYUS: Poor analogy, Paul. But I do
21 agree with you.

22 PANEL MEMBER ATKINSON: I suspect the reporting
23 data though is in tons or in --

24 PANEL MEMBER BLANC: No, I know. But you could
25 convert it. I mean you just divide it by the molecular

1 weight or something.

2 I just throw it out for your consideration.

3 Because you are going to then weigh towards things like
4 zinc and other things that are inherently heavy but you
5 may not care about.

6 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:

7 I see.

8 Dr. Blanc, that's actually a good --

9 CHAIRPERSON FROINES: Then that gets you into the
10 problem of the -- I mean there are all sorts of problems
11 with credit trading in air pollution -- and that's a good
12 point -- of which it's one of a number that need attention
13 if we're going to -- because the new Chair is very
14 interested in trading credits. So is the Governor. And
15 there are some real weak spots with that. And we should
16 be conscious of that as we move forward.

17 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:

18 Okay. The only thing that we would point out is
19 that the California emissions are based on what we know
20 about sources. That's actually how we derive the data, is
21 through our database. So it's more tied towards sources.
22 But I understand what you're saying. It's a good point.

23 Thank you.

24 Dr. Landolph.

25 PANEL MEMBER LANDOLPH: Of course there's all

1 kinds of ways to do this.

2 I'm wondering if you ought to add all the other
3 things up and then multiply them by the emissions rather
4 than just add the emissions, you know, to spread the
5 numbers out. It's more like a tox -- it's something to
6 think about.

7 Ideally what I guess you'd like is some toxicity
8 slope factor for cancer times emissions to give you a
9 hazard quotient. You might think about that a little bit,
10 the multiplying rather than adding the emissions.

11 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:

12 Dr. Landolph, I think that's a good approach for
13 chemicals where we have more information on toxicity and
14 tea and health effects. But as we apply this to candidate
15 chemicals, often times we don't have that information.

16 CHAIRPERSON FROINES: There's also another issue.
17 And, that is, I've been working on the toxicology of
18 diacetyl, which causes the bronchiolitis obliterans. And
19 the flavorings industry released a report that said in
20 flavorings there are 1200 chemicals used. Many, many,
21 many, many, many aldehydes. And so we're right on target
22 here. And so if you have a flavoring plan that's emitting
23 35 to 100 to 200 flavorings, then there should be some way
24 to take that into consideration too. Because it may be
25 that the toxicity from the release of all of that -- a

1 large number of compounds may be something of concern.
2 And I don't have -- I'm not -- I don't have an idea of how
3 you deal with it. But I know if you've got flavoring
4 industries with very large numbers of chemicals, we ought
5 to think about that.

6 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:

7 Okay. Dr. Froines, That's a good suggestion.

8 I think what immediately comes to mind is we do
9 have the "comment" column where we can account for at
10 least some of that supplemental information that's not
11 accounted for in the prior deciding spreadsheet.

12 ARB AIR POLLUTION SPECIALIST CHUNG: The
13 photochemistry is one of the new categories in the
14 proposed methodology. It's a 2-point category to account
15 for what is known about the ability of a substance to
16 react in the atmosphere to form other toxic air
17 pollutants. If there's a reasonable amount of data to
18 show that it can form other toxic air contaminants --
19 other toxic air pollutants in the atmosphere, it would
20 receive 2 points. If there is suggestive evidence, we
21 would give it 1 point.

22 Quantitative structure activity relationship
23 models will be used where data are not available to
24 determine if the products of photochemical reactions are
25 expected to be of concern for toxicity.

1 --o0o--

2 ARB AIR POLLUTION SPECIALIST CHUNG: Over the
3 years, we found that the differences in data quality and
4 availability between substance formerly identified as
5 toxic air contaminants versus the major portion of the
6 compounds on the Canada toxic air contaminants list was
7 significant. We think we need to have some flexibility to
8 allow for the consideration of data that does not fit
9 neatly into the construct of the eight categories in the
10 proposed methodology.

11 Our solution was to create a comment column,
12 which lays out some broadly defined criteria for us to use
13 as a basis for considering information that falls outside
14 of the box.

15 This slide shows a few examples.

16 --o0o--

17 ARB AIR POLLUTION SPECIALIST CHUNG: For
18 exposure. Four factors not covered by the methodology are
19 shown. Substance that displays all four factors would be
20 awarded 4 points and so forth for substance displaying
21 fewer factors.

22 --o0o--

23 ARB AIR POLLUTION SPECIALIST CHUNG: For health
24 effects, three factors not covered by methodology are
25 shown. In this case substances that display irreversible

1 or severe adverse health effects would receive 4 points; 2
2 points would be awarded to substances that either amplify
3 or potentiate an adverse health effect or has a moderate
4 adverse health effect not captured elsewhere.

5 --o0o--

6 PANEL MEMBER BLANC: So I think that where you
7 need to think this through a little bit more, just looking
8 at the examples you supplied to us for our edification, it
9 just seems to be something that's breaking down in your
10 comment health column. And I don't know if it's because I
11 don't understand exactly how it's supposed to interplay
12 with, let's say, the non-cancer toxicity score. The
13 non-cancer toxicity score column has a very limited range
14 of response and so many, many things are capable of
15 getting a 4 on that. And I'm not sure what it is that's
16 going to drive you to then award something, points in the
17 health comments score column, but it seems to me that
18 you're being very, very sparse or stingy with your
19 attribution of points in that column, just looking at it
20 quickly, trying to think through the chemicals.

21 I mean let's take something like silica, which I
22 think you give 1 point in the comment score. Is that
23 right?

24 CHAIRPERSON FROINES: In the what?

25 PANEL MEMBER BLANC: In the health comment box,

1 it gets 1 point, is that right?

2 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:

3 I believe the current scoring is 1 point.

4 PANEL MEMBER BLANC: Okay. Here's a material
5 which if you have a body burden of silica, you're more at
6 risk of tuberculosis. That would seem to be something
7 that would be something that potentiates other health
8 effects.

9 In and of itself it causes a fatal lung condition
10 of silicosis. It also causes another fatal lung condition
11 called pulmonary alveolar prognosis. It is associated
12 with more than double the risk of systemic rheumatologic
13 disease. There's arguments about renal problems. I mean
14 it's a little bit hard for me to see why is it that silica
15 as just an example only got a point and then it's hard for
16 me to see systematically how were you going to go through
17 and somehow grossly determine the points that you award
18 without going through a little mini-health hazard
19 evaluation. It's not that I disagree with it
20 conceptually. But I'm trying to figure out how you're
21 going to do it in practice that's not going to be terribly
22 subjective. In just judging on what you've done so far,
23 it seems like there's some problems with it inherently.

24 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:

25 Yeah. Dr. Blanc, first of all I should point out

1 that what we've given you is work in progress. We
2 actually haven't completed the entire scoring for the
3 comment column yet. This is work that we're actually
4 working with OEHHA to help us develop some of that
5 information.

6 But would that be the kind of information that
7 Dr. Blanc is pointing out, Bruce, would that be
8 appropriate for the health column?

9 DR. WINDER: Yes, an example was -- for example,
10 the lead, we're talking about severity. Same way with the
11 issue you bring up in terms of the silicosa.

12 Again, we're talking about the document. And as
13 I guess Jim was pointing out, this idea of a spread here
14 for this point assignment was something that came up in
15 our conversations with the leads. And we're just now
16 applying this again to this list that you have before you.
17 So that, as you said, is still a work in progress.

18 But I appreciate what you're trying to say: In
19 some of these cases how do we capture without being
20 terribly subjective, you know, the kinds of things you're
21 mentioning? That's something we still have to think
22 about. I'm not sure quite how to articulate all the
23 criteria that would go into, say, the severity for the
24 silica is 4 versus, say, 2, and that kind of thing.

25 PANEL MEMBER BLANC: Well, I mean I think the

1 severity -- in the severity, the non-cancer severity
2 column where it's either a 0, 2, or 4, is that what it is
3 again? Or could you be 1, 2, 3, 4 in the -- what?

4 ARB AIR POLLUTION SPECIALIST TAKEMOTO: Zero, 2,
5 and 4, right. So 4 is if it can kill you and 2 is if it
6 makes you sick and 0 is if it does nothing at all?

7 I mean does it have to be generally recognized as
8 safe essentially to be a 0?

9 DR. WINDER: The idea there is it catches a 0 if
10 its toxicity hasn't been captured elsewhere in the
11 spreadsheet. So we're glad to hear that the comments are
12 that -- this allows us to elaborate on these cases whether
13 it's more severe for one reason or another or there's more
14 concern than it's been captured in terms of just numbers
15 of organ systems affected or whether it's
16 children-specific or anything.

17 CHAIRPERSON FROINES: What slide is that?

18 PANEL MEMBER ATKINSON: It's a table in the --

19 CHAIRPERSON FROINES: Oh. Well, I think that
20 having something -- and this is an oversimplification too.
21 But if you had acute reversible and you had chronic
22 reversible and if you had and if you had molecular
23 biological and you had chronic irreversible, you'd have
24 four nice categories. Because you could put liver
25 toxicity, liver cirrhosis in chronic irreversible; you

1 could put atherosclerosis in chronic in irreversible; you
2 could put cancer in molecular biological, and so on and so
3 forth.

4 In other words, I think that -- I think that one
5 can broaden those categories. And those four would be a
6 good starting point.

7 Are you scowling?

8 PANEL MEMBER BLANC: No, I'm just -- I'm just
9 thinking that you're -- you know, it's always a problem
10 with these -- obviously with these weighting things
11 because are you -- but you've recognized a problem, which
12 is that your non-cancer toxicity scoring thing has very
13 little spread in it and very little repertoire for
14 capturing some things that matter more than others. So
15 you've made this other column, which is okay, yeah, we
16 know both these things can kill you, but based on human
17 experience there's a whole lot more health problems with
18 this other thing and so we're going to give it extra
19 points, we're going to goose it up a little bit. And it
20 may be that you need to do that and go farther or it may
21 be that what John is suggesting is in the non-cancer
22 toxicity scoring, that you could find a way of being more
23 systematic in your initial toxicity that would -- that
24 would be helpful. Or it may be, for example, chemicals
25 for which it's clearly toxic in animal data but for which

1 there's virtually no human case reports of illness. And
2 then there are other chemicals for which there's a myriad
3 of human experience, unfortunately, that you would like to
4 represent somehow in your weighting.

5 So I don't have a quick fix for it. But I can
6 tell you that if you -- well, if you're going to rely on
7 these last two columns and particularly on the comment
8 health score, you better think through what's going
9 to -- how you're going to award those and ask yourselves
10 then will there be enough of a spread?

11 That's a 0, 1, 2, 3 -- that's a 0, 1, 2, 3, 4, so
12 at least --

13 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:

14 Okay. That's a good comment, Dr. Blanc. We'll
15 give that some --

16 CHAIRPERSON FROINES: Well, we'll work on this
17 over the next two months.

18 I should tell you that when we did our study in
19 the Caldecot Tunnel, we were able to differentiate the
20 toxicity of the gasoline vapor from diesel
21 vapor -- diesel -- pardon me -- cars versus diesel, and we
22 found that gasoline particles were more toxic than diesel
23 particles. And that you still get 90 percent of your
24 diesel of course -- I mean the emissions from diesel are
25 much greater than gasoline, but the relative toxic potency

1 shows that we found that the gasoline was more toxic. And
2 Harvard's investigators have found the same thing. So
3 that we have to -- when you -- you're going to have to add
4 particles to your gasoline vapors, I think, so that we're
5 looking at the whole picture, even though the amount of
6 particles that come out of cars is very low, as we all
7 know. Still, we'll show you our data -- we would testify
8 with our data on any hearing.

9 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:

10 Dr. Froines, just to point out that right now we
11 currently have gasoline vapors on our candidate list, but
12 it's gaseous components. We are considering adding
13 gasoline exhaust, which we would take your comment in
14 consideration and add that.

15 CHAIRPERSON FROINES: Then we'll have the same
16 fight that we do with...

17 These proposed rankings are certainly a lot
18 better than 1993. But, as Paul points out, there's still
19 room for -- and we'll just work on it over the next couple
20 of months, and I think we can come up with something.

21 PANEL MEMBER BLANC: I mean maybe the -- you
22 know, this sort of fits into with our speaker from the
23 FDA. But if you take the 23 ones that have already been
24 identified and use them as your testing ground and make
25 sure that your system assigns them higher ranks than they

1 actually seem to have currently, that would be one way of
2 refining the system, particularly, by the way, in terms of
3 these comments health score and comments exposure score.
4 That would be one area in particular. I am amazed that so
5 few of those have any points at all in the health column
6 score. And, in fact, the most of any of them had is a 1.
7 And that's only for a couple of them. I mean what does
8 vinyl chloride have to do not to get comments from you in
9 the health score, for example?

10 PANEL MEMBER FRIEDMAN: One fairly reassuring
11 thing is that environmental tobacco smoke and diesel
12 appear now in the high rankings, and they didn't before.

13 I assume these are the top -- are these the top
14 10 or --

15 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:

16 Yes, they are, Mr. Friedman.

17 PANEL MEMBER FRIEDMAN: Yeah.

18 ARB SUBSTANCE EVALUATION SECTION MANAGER AGUILA:

19 And, you know, they will somewhat change a little
20 once we complete our scoring, because it's kind of unfair
21 that we've given you work that's still in progress.

22 CHAIRPERSON FROINES: Well, I think that what --
23 I feel that -- I know you folks have been very busy with a
24 wide ranging number of activities. But I think if we
25 worked over the next two months, I think we can get

1 something that we can sort of say it's been a long time
2 but we're sort of there. And we may have to change it
3 later. But let's bring it to closure this time, and I
4 think we'll all feel good about that.

5 And then you have to get your management to start
6 sending things forward to us. And we'll hold our breath.

7 PANEL MEMBER BLANC: Be careful what you ask for.
8 (Laughter.)

9 CHAIRPERSON FROINES: Well, thank you very much.
10 It's very useful. I think the Panel will look this over
11 and find it very interesting and think about the things
12 that have been raised.

13 PANEL MEMBER BLANC: So would you like to
14 consider a motion for adjourning?

15 CHAIRPERSON FROINES: Well, we would consider a
16 motion to adjourn.

17 PANEL MEMBER BLANC: So moved.

18 CHAIRPERSON FROINES: So moved. You didn't make
19 that motion last night.

20 Any seconds?

21 PANEL MEMBER ATKINSON: I'll second it.

22 CHAIRPERSON FROINES: All in favor?

23 (Ayes.)

24 CHAIRPERSON FROINES: Unanimous.

25 The meeting is adjourned officially.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

(Thereupon the California Air Resources Board,
Scientific Review Panel adjourned at 3:20 p.m.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CERTIFICATE OF REPORTER

I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, and Registered Professional Reporter, do hereby certify:

That I am a disinterested person herein; that the foregoing California Air Resources Board, Scientific Review Panel meeting was reported in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California, and thereafter transcribed into typewriting.

I further certify that I am not of counsel or attorney for any of the parties to said meeting nor in any way interested in the outcome of said meeting.

IN WITNESS WHEREOF, I have hereunto set my hand this 17th day of December, 2007.

JAMES F. PETERS, CSR, RPR
Certified Shorthand Reporter
License No. 10063

