

MEETING
STATE OF CALIFORNIA
ENVIRONMENTAL PROTECTION AGENCY
AIR RESOURCES BOARD
SCIENTIFIC REVIEW PANEL
ON TOXIC AIR CONTAMINANTS

ZOOM PLATFORM
CALEPA HEADQUARTERS
1001 I STREET
SACRAMENTO, CALIFORNIA

THURSDAY, FEBRUARY 13, 2025
9:32 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

J&K COURT REPORTING, LLC

APPEARANCES

PANEL MEMBERS:

Cort Anastasio, PhD, Chairperson

Ahmad Besaratinia, PhD

Paul Blanc, MD

Katharine Hammond, PhD

Michael Kleinman, PhD

Joseph R. Landolph, Jr., PhD

Pamela Lein, PhD

Karen Messer, PhD

Beate R. Ritz, MD, PhD, MPH

REPRESENTING THE AIR RESOURCES BOARD:

Hnin Hnin Aung MsC, PhD, Air Pollution Specialist, Health and Exposure Assessment Branch, Research Division

Arash Mohegh, PhD, Health and Ecosystems Assessment Section, Health and Exposure Assessment Branch, Research Division

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT:

Kannan Krishnan, PhD, Assistant Deputy Director, Division of Scientific Programs

Rona Silva, PhD, Staff Toxicologist, Air Toxicology and Risk Assessment Section, Air and Site Assessment and Climate Indicators Branch, Division of Scientific Programs

Meng, Sun, PhD, Chief, Air and Site Assessment and Climate Indicators Branch, Division of Scientific Programs

APPEARANCES CONTINUED

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD
ASSESSMENT:

Rima Woods, PhD, Chief, Air Toxicology and Risk Assessment
Section, Air and Site Assessment and Climate Indicators
Branch, Division of Scientific Programs

ALSO PRESENT:

Byanka Santoyo, Center on Race, Poverty and the
Environment

INDEX

PAGE

1. Welcome and Introductions 1
 - Overview of the meeting: 1 item today:
From OEHHA: 1,4-Dichlorobenzene Reference
Exposure Levels (RELs).
 - We will have oral comments on the item
today.
2. Item from OEHHA on the 1,4-Dichlorobenzene
Reference Exposure Levels (RELs) - Technical
Support Document for Noncancer Reference
Exposure Levels

The Office of Environmental Health Hazard
Assessment (OEHHA) staff will present a draft
document summarizing an update and development
of reference exposure levels (RELs) for
1,4-Dichlorobenzene to the Scientific Review
Panel on Toxic Air Contaminants. RELs are
airborne concentrations of a chemical that are
not anticipated to result in adverse non-cancer
health effects for specified exposure durations
in the general population, including sensitive
subpopulations. OEHHA is required to develop
guidelines for conducting health risk
assessments under the Air Toxics Hot Spots
Program (Health and Safety Code Section 44360
(b)(2)). In response to this statutory
requirement, OEHHA developed draft RELs for
1,4-Dichlorobenzene. Workshops and comment
period for the document were offered from
November 2024 through January 2025. 4
3. Consideration of administrative matters. 61
 - Through December 31, 2025, advisory bodies
such as the SRP can continue to meet
remotely and do not have to come in person.
 - Many panel members are ending their terms.
We are in the process of appointing new
members and reappointing members.

INDEX CONTINUED

PAGE

Adjournment

64

Reporter's Certificate

65

PROCEEDINGS

CHAIR ANASTASIO: Good morning, everyone. And I'd like to call this meeting of the Scientific Review Panel on toxic air contaminants to order. Welcome, everyone who's coming from online. Please note that the meeting is being recorded.

Hnin Hnin Aung will be overseeing the Zoom technical operations and she's going to go over instructions for comments once we get to public comments. We're going to start with Panel introductions. But before I get to that, just a note, we are well aware that many of the Panelists, including myself, our terms have ended, either last year, or even before that. Fortunately, everyone is allowed to serve until a replacement is seated. And I just want to say we really appreciate everyone participating, especially those members whose terms have ended. I promise this is not a life-long appointment. There will be replacements. I just don't know when.

So now let's do Panel introductions. I'll start. I'm Cort Anastasio. I'm Chair of the Panel and I'm a professor of at UC Davis.

Paul.

Sorry, Paul, you're muted.

PANEL MEMBER BLANC: Paul Blanc, Professor

1 Emeritus, University of California, San Francisco. Panel
2 member for occupational health.

3 CHAIR ANASTASIO: Thank you, Paul.
4 Kathy.

5 PANEL MEMBER HAMMOND: Kathy Hammond, Professor
6 Emeritus, UC Berkeley and professor of the graduate school
7 there, and a member of the Science Review Panel.

8 CHAIR ANASTASIO: Thank you, Kathy.
9 Beate.

10 PANEL MEMBER RITZ: Beate Ritz, Distinguished
11 Professor of epidemiology and environmental health, COEH
12 member at UCLA, School Public -- School of Public Health,
13 I am one of those expired members still here.

14 (Laughter).

15 CHAIR ANASTASIO: Not expired.

16 PANEL MEMBER RITZ: Yeah, well, expiration date
17 passed.

18 CHAIR ANASTASIO: You still have plenty of shelf
19 life.

20 (Laughter).

21 CHAIR ANASTASIO: Thank you, Beate.
22 Mike.

23 PANEL MEMBER KLEINMAN: I'm Mike Kleinman. I'm a
24 Professor at UC Irving. I'm an inhalation toxicologist
25 and I am also on the list for expiration.

1 (Laughter).

2 CHAIR ANASTASIO: Thank you, Mike.

3 Pam.

4 PANEL MEMBER LEIN: Hi. I'm Pam Lein, Professor
5 of neurotoxicology at University of California, Davis
6 School of Veterinary Medicine. And like everyone else,
7 I'm expired as well.

8 CHAIR ANASTASIO: Thank you, Pam.

9 Ahmad.

10 PANEL MEMBER BESARATINIA: Good morning,
11 everybody. Ahmad Besaratinia. I'm a professor of at Keck
12 School of Medicine of University of Southern California,
13 los Angeles.

14 CHAIR ANASTASIO: Great. Thank you, Ahmad.

15 And then last, Joe.

16 PANEL MEMBER LANDOLPH: Hi. I'm Joseph R.
17 Landolph, Jr., PhD. I'm Associate Professor of molecular
18 Microbiology and immunology and associate professor of
19 molecular pharmacology toxicology in the Keck School of
20 Medicine. And I work on molecular carcinogenesis and
21 genetic toxicology at the Keck School of Medicine of the
22 University of Southern California.

23 Thank you

24 CHAIR ANASTASIO: Great. Thank you, Joe, and
25 thank you all, Panelists.

1 First, an overview of the meeting today. We have
2 just one item from OEHHA, which is a reference exposure
3 level, affectionately called a REL., for
4 1,4-dichlorobenzene. We were -- we will take public oral
5 comments on this item after the presentation and then the
6 Panel discussion.

7 So, let's get into that. So staff from the
8 Office of Environmental Health Hazard Assessment, OEHHA,
9 will present a draft document with an update of reference
10 exposure levels, RELs, for 1,4-dichlorobenzene. RELs, to
11 remind everyone, are airborne concentrations of a chemical
12 that are not anticipated to result in adverse non-cancer
13 health effects for specified exposure durations in the
14 general population, including sensitive subpopulations.

15 OEHHA is required to develop guidelines for
16 conducting health risk assessments under the Air Toxic
17 Hotspots Program, Health and Safety Code section
18 44360(b)(2). In response to the statutory requirement,
19 OEHHA developed draft RELs for 1,4-dichlorobenzene.
20 Workshops and comment period for the document were offered
21 from November 2024 through January 2025. More information
22 regarding the document can be found at a URL that I hope
23 Hnin Hnin will put into chat, so I don't have to say the
24 whole thing.

25 And I would like to now introduce Dr. Rima Woods,

1 Senior Toxicologist and one of the item leads from OEHHA.

2 Rima, the floor is yours.

3 (Slide presentation).

4 DR. RIMA WOODS: Thank you very much, Cort. I
5 will share my screen. Okay. And I just want to confirm
6 that you can see my screen okay -- see my slides.

7 Great.

8 Okay. Good morning everyone. Dr. Rima Woods,
9 Senior Toxicologist and Chief of the Air Toxicology and
10 Risk Assessment section at OEHHA. I'm joined today by Dr.
11 Meng Sun, Chief of the Air and Site Assessment and Climate
12 Indicators Branch, Dr. Rona Silva, staff toxicologist in
13 the Air Toxicology and Risk Assessment Section, and Dr.
14 Kannan Krishnan, Assistant Deputy Director of Scientific
15 Programs for OEHHA.

16 Today, I'll be presenting the derivation for
17 draft acute eight-hour and chronic reference exposure
18 levels for 1,4-dichlorobenzene. And if approved, these
19 RELs will be adopted into the Air Toxics Hot Spots
20 Program.

21 [SLIDE CHANGE]

22 DR. RIMA WOODS: Okay. This is the structure of
23 1,4-dichlorobenzene, or 1,4-DCB, with chlorines on
24 opposing sides of a benzene ring. 1,4-DCB is often
25 referred to as para-dichlorobenzene. So, it's a solid at

1 room temperature, but sublimates going from solid to gas
2 relatively easily. This characteristic led to its use in
3 air fresheners and as an insect repellent in mothballs.
4 1,4-DCB has a melting point of 52.7 degrees Celsius, or
5 127 degrees Fahrenheit, and it has a vapor pressure of
6 1.74 millimeter mercury, or Torr. It is soluble in many
7 organic solvents, but is insoluble in water.

8 [SLIDE CHANGE]

9 DR. RIMA WOODS: The main use of 1,4-DCB in
10 California is as an active ingredient in mothballs and is
11 also used as a pesticide in residential and commercial
12 spaces. It's been banned in California for use in air
13 fresheners. Other uses are as a component in the
14 manufacture of polyphenylene sulfide thermoplastics, which
15 are used in the electronics, automotive, and aerospace
16 industries. 1,4-DCB can be found in some oil or fuel
17 additives and construction products. Main emission
18 sources in California are sawmills and lumber producers,
19 water treatment facilities, and some landfills. It is
20 listed as a carcinogen under the California Proposition 65
21 program and has an inhalation unit risk factor under the
22 Hot Spots Program.

23 Today, we're presenting an updated chronic REL,
24 which will supersede the current chronic REL of 800
25 micrograms per cubic meter, or 133 ppb, which was based on

1 liver hypertrophy in rodents. U.S. EPA's IRIS program
2 developed this value in 1994, and OEHHA adopted it into
3 the Hot Spots program in 2000.

4 [SLIDE CHANGE]

5 DR. RIMA WOODS: But monitoring efforts have
6 evaluated airborne concentrations of 1,4-DCB. A study of
7 residents in the Los Angeles area in 1987 found detectable
8 levels in 59 percent of exhaled breath and 77 percent of
9 personal air samples, which was likely associated with
10 indoor use air fresheners and mothballs.

11 For ambient air levels, the California Air
12 Resources Board collected air monitoring data for 1,4-DCB
13 in urban areas from 1990 up until 2007. The maximum level
14 of 1,4-DCB in any one year during that time ranged from
15 0.4 to 3.1 parts per billion. However, in most
16 measurements, 1,4-DCB was below the limit of detection.

17 [SLIDE CHANGE]

18 DR. RIMA WOODS: 1,4-DCB is rapidly absorbed via
19 inhalation and oral routes, but not through dermal routes.
20 In inhalation studies performed in rats, 1,4-DCB
21 distributed to fat, but declined to low levels by 24 hours
22 post-exposure, suggesting that 1,4-DCB does not have
23 long-term storage in the fat. It also distributes to a
24 lower extent to liver, kidney, and serum.

25 The primary route of metabolism for 1,4-DCB is

1 via oxidation by cytochrome P450s in both rodents and
2 humans, primarily to 2,5-dichlorophenol, or 2,5-DCP.

3 CYP2E1 is the main isozyme involved in
4 metabolism. 2,5-DCP is conjugated to glutathione and
5 eliminated in urine. In the only controlled inhalation
6 study in humans, by Yoshida et al., 2002a, between five
7 and 16 percent of the absorbed 1,4-DCB was eliminated in
8 urine as 2,5-DCP at nine to 11 hours after exposure began.
9 However, the study only lasted about 10 hours following
10 the end of exposure, so the authors were not able to
11 determine a urinary elimination half-life in humans.

12 [SLIDE CHANGE]

13 DR. RIMA WOODS: Since the 1980s, NHANES has been
14 collecting urine samples from adults and children during
15 their periodic population surveys. Urinary levels of
16 2,5-DCP are included in the survey and this is considered
17 a reliable biomarker of previous exposure to 1,4-DCB.

18 The surveys found detectable levels of 2,5-DCP in
19 98.5 percent of urine samples in the 2007-2008 and
20 2009-2010 survey cycles, showing that non-occupational
21 exposure to 1,4-DCB is widespread. However, levels have
22 been declining since the 1980s. For example, as shown in
23 this table, the 50th percentile for 2,5-DCP in urine has
24 dropped from 24 micrograms per gram creatinine in the
25 '88-'94 survey down to 2.03 micrograms per gram creatinine

1 in the 2015-2016 survey.

2 [SLIDE CHANGE]

3 DR. RIMA WOODS: I'll now turn to the acute
4 toxicology data for 1,4-DCB, which was assessed to derive
5 the acute REL. There is very limited information on acute
6 1,4-DCB exposures in humans with durations of 24 hours or
7 less. There are early occupational health studies from
8 the 1950s, which suggests that sensory irritation can
9 occur at concentrations of 50 to 80 parts per million.

10 However, the methodology used to determine the
11 air concentrations was not well documented and a clear
12 quantitative correlation between concentration and the
13 sensory irritant effects could not be determined. In
14 animal studies, a concentration of 70 -- 798 ppm for eight
15 hours daily resulted in tremors, weakness, and eye
16 irritation. It was unclear from the study if the first
17 day of exposure resulted in these effects, or if multiple
18 daily exposures were needed to cause the effects.

19 In a more recent two-generational study by Tyl
20 and Neeper-Bradley, a concentration of 571 ppm on the
21 first day of a multi-day exposure resulted in tremors and
22 sensory irritation in male and female rats. Umemura et
23 al. exposed male rats to concentrations of 125 or 500 ppm
24 for 24 hours, which caused microscrap -- excuse me,
25 microscopic cellular damage to the kidneys, including

1 epithelial swelling, eosinophilic bodies, and desquamation
2 in the kidney proximal tubules. In female rats, exposure
3 to 500 ppm for 24 hours showed vacuolization in
4 hepatocytes.

5 [SLIDE CHANGE]

6 DR. RIMA WOODS: Now, I'd like to describe the
7 chronic and subchronic effects of 1,4-DCB exposure in
8 humans.

9 Evidence of chronic injury has been mainly
10 through case reports and reviews of exposure to 1,4-DCB,
11 primarily via the inhalation route, but also via the oral
12 route. Exposure was on the order of months to years in
13 these case reports. Very early case reports from the
14 1950's documented liver damage while newer studies
15 documented central nervous system toxicity and dermatitis.

16 The main finding of more recent case studies
17 involving substance addiction was nonspecific damage to
18 white matter of the brain, known as leukoencephalopathy,
19 leading to functional neurological decline. Symptoms
20 include limb weakness, tremor, bradyphrenia, which is
21 slowed thinking and processing of information, and
22 cognitive decline. Leukoencephalopathy can be caused by a
23 variety of different agents, including exposure to other
24 types of environmental and industrial chemicals.

25 Dermatitis was also a common finding in these

1 cases, but evidence of liver or kidney damage was not.
2 Exposure to 1,4-DCB was confirmed by the presence of
3 2,5-DCP in urine, or 1,4-DCB in blood. However, it is
4 possible that exposure to other chemical substances could
5 have occurred but was not confirmed in these reports.

6 [SLIDE CHANGE]

7 DR. RIMA WOODS: And there are a few occupational
8 studies available for 1,4-DCB. In the occupational health
9 study by Hollingsworth in 1956, spot air samples collected
10 from various locations within the workplace found 1,4-DCB
11 levels ranged from five to 725 ppm. Occupational exposure
12 of these workers lasted between eight months and 25 years.
13 However, no time-weighted average daily exposure was
14 determined. Blood tests and urinalysis did not show any
15 indication of liver or kidney damage. And additionally,
16 examination of the eyes did not reveal any damage.

17 In another study in a Taiwanese factory, the mean
18 exposure was 11.8 years. Air monitoring for 1,4-DCB was
19 not collected, although urine levels were analyzed for
20 2,5-DCP. White blood cell count and alanine
21 aminotransferase were positively correlated with 2,5-DCP
22 levels, suggesting possible liver effects. However, the
23 authors did not report any obvious signs of illness in the
24 workers.

25 [SLIDE CHANGE]

1 DR. RIMA WOODS: So NHANES population surveys
2 have provided data for many published reports examining
3 levels of chemical metabolites in urine that are
4 associated with diseases or health conditions. And there
5 are association studies which use urinary 2,5-DCP as a
6 marker of exposure to 1,4-DCB. However, in general, a
7 limitation of these cross-sectional studies is that the
8 causal relationship between 1,4-DCB exposure and
9 associations with health conditions in these population
10 surveys are inherently difficult to establish due to
11 factors such as exposure being based on a single urine
12 sample, possible exposure to multiple pollutants, and
13 misclassification of self-reported data.

14 Given these limitations, the increased 2,5-DCP in
15 urine of adults has been associated with decreased lung
16 function, increased prevalence of obesity, diabetes,
17 metabolic syndrome, and cancer, increased risk for
18 cardiovascular disease, and decreased kidney function,
19 along with increased vitamin D deficiency.

20 [SLIDE CHANGE]

21 DR. RIMA WOODS: In children, increased 2,5-DCP
22 has been associated with increased prevalence of obesity
23 and hypothyroidism, and earlier age of menarche in
24 adolescent girls. In pregnant women, increased 2,5-DCP
25 has been associated with decreased birth weight in their

1 male infants, but not female infants, and increased
2 prevalence for asthma, and rashes, eczema or hives in
3 boys, but not girls.

4 While these cross-sectional studies contribute to
5 establishing associations, the lack of exposure
6 information and dose response precludes them from being
7 used to derive RELs.

8 [SLIDE CHANGE]

9 DR. RIMA WOODS: So now, I'll move on to chronic
10 exposure data from animal studies.

11 One of the few long-term, comprehensive 1,4-DCB
12 animal studies was conducted by Aiso et al. and published
13 in 2005. Exposures occurred in males and females of both
14 rats and mice. Concentrations were 0, 20, 75 and 300 ppm
15 for six hours a day, five days a week, for two years.

16 Necropsy conducted in all organs found
17 treatment-related non-cancer lesions in liver of male rats
18 and mice, the kidney of male rats, and the nasal
19 epithelium female rats and mice, and the testis of male
20 mice. Most of these effects were found only at the
21 highest concentration of 300 ppm, although increased
22 incidence of nasal and testis lesions were observed in the
23 mid-dose groups as well.

24 [SLIDE CHANGE]

25 DR. RIMA WOODS: This table presents the main

1 chronic toxicity findings in male rats.

2 There was a positive trend for papillary
3 mineralization and hyperplasia of the pelvic urothelium in
4 the kidneys that was statistically increased at the
5 highest exposure of 300 ppm. Hepatocellular hypertrophy
6 of the liver was significantly increased at 300 ppm, but
7 microscopic analysis did not find hepatocellular injury.
8 Thus, OEHHA did not consider this a toxic effect of
9 1,4-DCB and did not consider it further for REL
10 development.

11 [SLIDE CHANGE]

12 DR. RIMA WOODS: This table presents the main
13 chronic toxicity findings in female rats.

14 There was a positive trend for olfactory
15 eosinophilic globules that was statistically significant
16 compared to control at both the 75 and 300 ppm
17 concentrations. Specifically, the increased incidence for
18 this nasal effect was for moderate and marked nasal
19 degeneration combined. This type of lesion is
20 age-related, but increased in incidence and severity with
21 1,4-DCB exposure.

22 Also in females, there was a positive trend for
23 respiratory eosinophilic globules that was significantly
24 increased in the 300 ppm group, as well as the incidence
25 of respiratory metaplasia, which was also significantly

1 increased in the 300 ppm group.

2 [SLIDE CHANGE]

3 DR. RIMA WOODS: This table presents the main
4 chronic toxicity findings -- let's see here. Whoops -- in
5 mice. Gosh. Sorry. Lost this here. So sorry about
6 that.

7 So this represents the noncancer findings in the
8 two-year inhalation study looking at just mice. So in
9 male mice we see hepatocellular hypertrophy at the high
10 dose of 300 ppm. We also see testis mineralization at the
11 mid and high dose for the male mice. And then in female
12 mice, we do see metaplasia, of the olfactory epithelium,
13 again just at the 300 ppm dose.

14 [SLIDE CHANGE]

15 DR. RIMA WOODS: So moving on to developmental
16 and reproductive inhalation studies for 1,4-DCB.

17 A developmental inhalation study published by
18 Hayes et al. in 1985 exposed New Zealand white rabbits to
19 0, 100, 300 or 800 ppm for six hours per day on
20 gestational days six to 18. The only developmental effect
21 found was an increased incidence of retroesophageal right
22 subclavian artery in the highest exposure group of 800
23 ppm. This is a developmental anomaly of the circulatory
24 system in which the right subclavian artery forms on the
25 wrong side of the esophagus. In most cases, this anomaly

1 is without clinical symptoms, but in some cases may cause
2 swallowing or breathing difficulties. The static --
3 excuse me. The statistical significant increased
4 incidence in the 800 ppm group, not only for total number
5 of fetuses, but also for total litters, led OEHHA to
6 conclude that this was likely a treatment-related effect.

7 [SLIDE CHANGE]

8 DR. RIMA WOODS: There's also a two-generation
9 reproductive and developmental inhalation study for
10 1,4-DCB in rats by Tyl and Neeper-Bradley from 1989. This
11 is a study in which daily six-hour exposures of the F0
12 generation began 10 weeks prior to mating and continued
13 through weaning on the F1 generation. The F1 rats in turn
14 were exposed six hours per day until birth of the F2
15 generation. No reproductive parameters were affected by
16 exposure, and there were recurrent acute affects in the
17 high-dose group, which I mentioned previously.

18 The main treatment-related effects in the
19 offspring included significantly decreased litter size in
20 both F1 and F2 generations exposed to 538 ppm. Decreased
21 body weight and weight gain was also reduced in both
22 generations at the highest exposure to 538 ppm. And
23 finally, there was an increase in stillborn pups and pup
24 deaths during post-natal days one through four in both
25 generations at the highest exposure.

1 [SLIDE CHANGE]

2 DR. RIMA WOODS: So now moving on to derivation
3 of the REL values starting with the acute REL.

4 Developmental effects observed with exposure to
5 1,4-DCB can be used for acute REL derivation under the
6 assumption that even just one hour of exposure during a
7 critical window of development could result in
8 developmental effects. The developmental effects observed
9 in rabbits and rats were considered to be the most
10 sensitive indicators of acute effects due to 1,4-DCB
11 exposure, thus the increased incidence of retroesophageal
12 right subclavian artery in fetal rabbits, and the
13 decreased rat pup viability and body weight in the
14 two-generation study were considered for the acute REL.

15 [SLIDE CHANGE]

16 DR. RIMA WOODS: U.S. EPA benchmark dose
17 methodology was used to determine the point of departure,
18 or POD, as opposed to using a NOAEL/LOAEL approach. A
19 benchmark response rate, or BMR, of five percent extra
20 risk was used to derive a benchmark concentration, or BMC,
21 for dichotomous data, such as pup viability, where a pup
22 died or didn't. For continuous data, such as pup body
23 weights, a BMR of one standard deviation of the control
24 mean was used to estimate the BMC. The benchmark
25 concentration model then calculates the BMCL, which is the

1 95 percent lower confidence limit of the BMC. And this
2 BMCL value is considered the point of departure for acute
3 REL derivation.

4 [SLIDE CHANGE]

5 DR. RIMA WOODS: So as I outlined a few slides
6 ago, both the developmental effects observed in rabbits
7 and in rats were considered for acute REL derivation. In
8 rabbits, the retroesophageal right subclavian artery
9 endpoint was modeled using a benchmark response of five
10 percent for dichotomous BMC modeling. Although there was
11 a significant increase in the endpoint compared to
12 control, the incidence was too low for adequate BMC
13 modeling. Thus, a LOAEL/NOAEL approach was applied,
14 giving a NOAEL of 300 ppm.

15 The pup viability was amenable to BMC modeling.
16 Presented here in this table is the benchmark
17 concentration modeling results for decreased pup body
18 weight and decreased pup viability. The lowest BMCL is
19 for decreased pup viability, which includes increased
20 stillborn pups and dead pups during postnatal day zero
21 through four in the F2 generation. The BMCL is 288 ppm,
22 which is lower than the 300 ppm NOAEL from the rabbit
23 endpoint, thus it will be the POD for the acute REL
24 derivation.

25 [SLIDE CHANGE]

1 DR. RIMA WOODS: So to recap from the previous
2 slide, the benchmark concentration, or BMC, is 464 ppm and
3 the BMCL is 288 ppm. No time adjustment is made in
4 extrapolating from six-hour exposure to a one-hour
5 exposure for developmental studies, under the assumption
6 that a single hour of exposure during a critical time in
7 development could lead to the developmental effect.

8 Because the developmental effects are systemic
9 effects, a default regional gas dose ratio, or RGDR, of
10 one is applied. This default value is used when
11 information for the human and animal blood-to-air
12 partition coefficients are unknown, as is the case for
13 1,4-DCB.

14 [SLIDE CHANGE]

15 DR. RIMA WOODS: For the acute REL, the
16 cumulative uncertainty factor is 200. This consists of an
17 interspecies toxicokinetic uncertainty factor of two,
18 which accounts for differences not addressed by the RGDR.
19 An interspecies toxicodynamic uncertainty factor of root
20 10 was applied, which is the default value used for lack
21 of interspecies toxicodynamic data.

22 An intraspecies toxicokinetic uncertainty factor
23 of 10 and an intraspecies toxicodynamic uncertainty factor
24 of root 10 were applied. These are the defaults used to
25 account for differences between humans and accounts for

1 potential increased susceptibility of infants and
2 children. This value is appropriate since the critical
3 study used to derive the acute REL is based on a sensitive
4 endpoint that occurs during development.

5 The adjusted POD of 288 ppm divided by the total
6 uncertainty factor of 200 gives an acute REL value of 1.5
7 ppm, or 8,700 micrograms per cubic meter. This acute REL
8 value is protective for sensory irritation and possible
9 neurotoxicity observed at high exposures in rats, and is
10 10 times lower than the presumed NOAEL seen in some
11 studies for irritation in human workers.

12 [SLIDE CHANGE]

13 DR. RIMA WOODS: For the derivation of the
14 chronic REL, the two-year rodent study by Aiso et al. was
15 chosen as the key study. The primary organs where
16 toxicological effects were observed include the upper
17 respiratory system, the kidney, and the male reproductive
18 system. As was done for the acute REL derivation, a U.S.
19 EPA benchmark dose methodology was used to determine the
20 BMC and the BMCL for each treatment-related effect, with
21 the BMCL used as the POD for REL determination.

22 [SLIDE CHANGE]

23 DR. RIMA WOODS: This table presents the
24 calculated BMCs and BMCLs for the treatment-related
25 effects seen in the Aiso 2005 study.

1 The BMC is the five percent response rate, and
2 the BMCL is the 95 percent lower confidence limit on the
3 BMC. The two lowest BMCLs, shown in bold in the table,
4 are for mineralization of the testis in male mice and
5 nasal olfactory epithelium degeneration, described earlier
6 as eosinophilic globules, in female rats.

7 While the mineralization in the testis had a
8 lower BMCL, the nasal effects in female rats was used as
9 the basis of the chronic REL, because the final calculated
10 concentration provided the most health protective REL
11 value. This is due to the calculations used for
12 determining human equivalency concentration, or HEC. The
13 RGDR for the testis mineralization is one, as it's a
14 systemic effect, whereas, the RGDR for the nasal olfactory
15 epithelium degeneration is 0.2, resulting in a lower REL
16 value. And I'll show these calculations in a slide or
17 two.

18 [SLIDE CHANGE]

19 DR. RIMA WOODS: This is the graphed data for
20 nasal olfactory epithelial degeneration, using the U.S.
21 EPA Benchmark Dose software. 1,4-DCB concentration is on
22 the x axis, and percent incidence is on the y axis. The
23 open circles are the data points showing the incidence for
24 the toxic effect at each exposure concentration of 0, 20,
25 75, and 300 ppm. The Benchmark Dose Program fit the blue

1 curved line to the data points, and calculated the five
2 percent response rate, or BMC, which is the dashed green
3 line in the lower left-hand corner. And the BMCL is the
4 dashed orange line just to the left of the green dashed
5 line.

6 [SLIDE CHANGE]

7 DR. RIMA WOODS: So if you recall from the
8 earlier slide showing the modeling results, the BMC for
9 this endpoint is 6.89 ppm and the BMCL is 4.65 ppm. A
10 time adjustment of six hours out of 24 hours, and five
11 days out of seven days is used to get an average daily
12 concentration, which is 0.83 ppm. The human equivalent
13 concentration is then applied using U.S. EPA methodology
14 for the nasal airway. This methodology accounts for
15 interspecies pharmacokinetic differences in respiration
16 rate, or minute volume, and surface area in nasal airways
17 of rats and humans. The resulting HEC, or human
18 equivalent concentration, is 0.2, which is multiplied by
19 the time-adjusted POD to give a value of 0.166 ppm.

20 [SLIDE CHANGE]

21 DR. RIMA WOODS: For the chronic REL, the
22 cumulative uncertainty factor was 200, the same as that
23 used for the acute REL derivation. The adjusted POD is
24 0.166 ppm is then divided by the cumulative uncertainty
25 factor of 200 to give the chronic REL of 0. -- 0.8 ppb or

1 five micrograms per cubic meter. This supersedes the
2 current chronic REL of 800 micrograms per cubic meter.
3 For comparison, using the testis mineralization data would
4 have given a chronic REL of 2.0 ppb, which is comparable
5 to that derived from the nasal olfactory epithelium
6 degeneration. And as such, both respiratory system and
7 male reproductive systems are listed as hazard index
8 targets for the chronic REL.

9 [SLIDE CHANGE]

10 DR. RIMA WOODS: For the eight-hour REL
11 derivation, the same endpoint was used as that of the
12 chronic REL - the nasal olfactory epithelium degeneration
13 in female rats. For the eight-hour REL derivation, a time
14 adjustment is applied, which assumes that a worker will
15 breathe half of their daily air intake during an active
16 eight-hour workday. And this adjustment results in an
17 eight-hour REL of 1.7 ppm or 10 micrograms per cubic
18 meter.

19 [SLIDE CHANGE]

20 DR. RIMA WOODS: So as Dr. Anastasio mentioned at
21 the beginning, the public comment draft was released on
22 November 29th, 2024 and initiated a 45-day public comment
23 period, which ended on January 13th, 2025. And during
24 that time, two public workshops were held. One written
25 comment was received from CleanEarth4Kids.org. The public

1 comment letter received is available on OEHHA's website
2 and contained four main comments. And I'll go through
3 each of those four main comments and discuss OEHHA's
4 response to the comments.

5 [SLIDE CHANGE]

6 DR. RIMA WOODS: The first main comment stated,
7 "Children are particularly vulnerable to airborne toxins
8 like 1,4-DCB. Their respiratory systems are still
9 developing and they have higher respiratory rates relative
10 to their body weight, which creates a higher health risk,"
11 end quote.

12 OEHHA is required by statute to derive new and
13 updated acute, eight-hour and chronic RELs for air toxics,
14 such as 1,4-DCB, using the methodology described in our
15 noncancer technical support document adopted in December
16 2008. And this methodology explicitly considers possible
17 differential effects on the health of infants, children,
18 and other sensitive subpopulations, in accordance with the
19 mandate of Children's Environmental Health Protection Act,
20 SB25.

21 OEHHA's methodology also considers other
22 sensitive subpopulations in addition to infants and
23 children. In particular, Section 3.1 of the technical
24 support document details how age-related sensitivities are
25 taken into consideration to ensure that the noncancer

1 health values are appropriately and sufficiently
2 protective of children's health.

3 The proposed acute REL is based on a
4 developmental endpoint, for which increased susceptibility
5 is already considered.

6 The proposed chronic and eight-hour RELs are
7 based on degenerative changes in nasal epithelium of rats
8 from a chronic study, where animals were treated starting
9 at six weeks of age for up to two years. And while there
10 are differences between the nasal epithelia of children
11 and adults, such as children having lower densities of
12 ciliated cells and higher levels of MUC5AC, which is a
13 highly glycosylated polymeric mucin glycoprotein in the
14 airway protection system, there is no evidence that
15 children's nasal olfactory epithelium is more susceptible
16 than adults. In addition, age-specific breathing rates
17 and body weights are applied during exposure assessment.

18 [SLIDE CHANGE]

19 DR. RIMA WOODS: The second main comment stated,
20 "The current REL proposals - five micrograms per cubic
21 meter for chronic exposure and ten micrograms per cubic
22 meter for repeated eight-hour exposure - do not
23 sufficiently address the risk posed by higher exposure
24 scenarios and should be further reduced to account for the
25 significant indoor and occupational exposure documented

1 globally," end quote.

2 OEHHA develops health guidance values, such as
3 RELs, for use in the Hot Spots program when assessing risk
4 from stationary facilities in California. RELs are not
5 developed for use in assessing risk from other emission
6 sources or from contaminants in indoor air. Additionally,
7 while studies of occupational exposure may be used in
8 developing hot spots health guidance values, the values
9 are not developed for use in occupational settings, which
10 fall under the purview of CalOSHA. However, the
11 eight-hour REL values are meant to protect people who may
12 be exposed to emissions from a facility while at their
13 workplace.

14 [SLIDE CHANGE]

15 DR. RIMA WOODS: The third comment stated, "There
16 should be more comprehensive educational campaigns about
17 the risks of 1,4-DCB exposure, and information about
18 safer, non-toxic alternatives," end quote.

19 And while OEHHA acknowledges the importance of
20 risk communication to the public, the draft document
21 details the scientific basis and derivation of the RELs
22 for 1,4-DCB, and educational campaigns are beyond the
23 scope of the draft document.

24 And the fourth comment stated, "Additionally,
25 there should be stronger air quality monitoring programs

1 in vulnerable communities to identify and mitigate sources
2 of 1,4-DCB."

3 And air monitoring programs and mitigation are
4 within the purview of CARB and local air districts. And
5 risk management approaches again are beyond the scope of
6 this draft document.

7 [SLIDE CHANGE]

8 DR. RIMA WOODS: And that concludes OEHHA's
9 presentation on the draft RELs for 1,4-DCB.

10 And before I pass it back to the Chair, I'd just
11 like to acknowledge Dr. Daryn Dodge, who is joining us
12 online via Zoom. Dr. Dodge, who was the primary author
13 for this document, has been a part of OEHHA's air toxics
14 team for over 20 years. His contributions to the Hot
15 Spots Program are immense, and he's participated in
16 producing numerous technical support and guidance
17 documents, some of which he has presented to the SRP.
18 Daryn had the good fortune to retire from OEHHA at the end
19 of December, and we're grateful that he's able to join us
20 today. And so with that, we're happy to answer any
21 questions and have discussion with the panel.

22 Thank you.

23 CHAIR ANASTASIO: Thank you very much, Dr. Woods.
24 Just a reminder to the Panel, we're supposed to lever our
25 video cameras on during the meeting. It's a State

1 requirement apparently. The second thing, I don't
2 remember that the Panel gave Daryn permission to retire,
3 so we might need to revisit that.

4 (Laughter).

5 CHAIR ANASTASIO: With that being said, I want
6 to -- oh, good morning, Karen. Nice to see you. I want
7 to turn it over to the leads this document, which were
8 Mike and Pam.

9 Pam, would you like to it start?

10 PANEL MEMBER LEIN: Sure. Good morning,
11 everybody. So, first of all, Rima, thank you for a really
12 wonderful presentation. It was clear and easy to follow.
13 I appreciate that.

14 So in my opinion, after reviewing the
15 documentation that was provided to me, I believe that
16 the -- OEHHA has used the appropriate methodology to reach
17 these RELs. I find the RELs to be well-documented to be
18 scientifically sound. And I have no concern with the RELs
19 themselves or how they were derived. I would point out,
20 however, that I think the rationale for excluding specific
21 endpoints or specific studies was not as well articulated
22 in the document as they were in today's presentation.

23 And so, I would potentially urge the OEHHA staff
24 to go back and review the document, particularly on page
25 10 in the -- well, I guess -- I don't -- what do you call,

1 the preface, where you sort of provide a nice summary of
2 the RELs and how you reach them. There's really not any
3 sort of rationale provided why you excluded the human
4 studies, why you focused on rat, and why you chose some of
5 the endpoints that you chose for your -- for your
6 analysis. So I think that was the only substantive
7 suggestion that I have to improve the documentation.

8 And that's pretty much all I have, Cort.

9 CHAIR ANASTASIO: Okay. Great. Thank you, Pam.
10 And, OEHHA, that comment makes sense?

11 DR. RIMA WOODS: Yes.

12 CHAIR ANASTASIO: Okay.

13 DR. RIMA WOODS: We can revise the entry portion
14 of the document so that we can include more information on
15 why certain studies were excluded. And throughout the
16 document as well, we'll confirm that we have the rationale
17 stated clearly.

18 PANEL MEMBER LEIN: Yeah. It was -- it was good
19 in some places, but missing -- it was inconsistent I guess
20 is the best I would -- I would phrase that. So to make
21 that more consistent would definitely strengthen and
22 bolster your analysis, I think.

23 DR. RIMA WOODS: Thank you.

24 CHAIR ANASTASIO: Great. Thank you, Pam. Just a
25 note here for legal purposes, just to say that Dr.

1 Landolph can't turn on his camera, in part because of
2 impacts from LA fires. So he has tried, but it's not
3 working, so that's as good as we can get there.

4 Okay. Mike, comments.

5 PANEL MEMBER KLEINMAN: Yes. Thank you. I agree
6 completely with all of the comments that Pam made. And so
7 I'll just -- well, I also have problems following the
8 argument for the choices in setting up the acute REL. And
9 so I -- you know, I think the way it was presented this
10 morning made it much more clear and, you know, much more
11 well justified. But as an exercise, I went back and
12 looked at some of the other acute exposure data. And as
13 it turned out, looking at a collective departure as a
14 LOAEL of 50 ppm based on occupational exposures, and
15 sensory irritation, and putting in an intraspecies
16 toxicokinetic factor of 10, and an intraspecies
17 toxicodynamic factor of root 10, came up with a cumulative
18 uncertainty factor of 31.6, which would give us an acute
19 REL of about 1.6 ppm.

20 So, you know, what I got out of that was the data
21 are very consistent, you know, from the very -- going
22 across. Now, maybe that's serendipity, but it made me
23 feel better about the way the numbers worked out.

24 So I agree that strengthening up the discussion
25 of the choice of the acute data used for the REL will make

1 it a lot clearer. And again, I wanted to congratulate
2 everyone on a very clear and well done document. It was
3 actually nice to read. And I picked up a lot of the
4 terminology. So thank you very much. That's it.

5 CHAIR ANASTASIO: Great. Thank you, Mike.

6 I'm just going to go Round Robin now through the
7 rest of the Panel and see if people have comments. Paul,
8 would you like to start.

9 PANEL MEMBER BLANC: Happy to. So let me start
10 by echoing and amplifying Dr. Kleinman's comments and
11 specifically urging the document to be edited to present
12 the alternative calculation based on the human eye
13 irritation NOAEL of 50 parts per million even if OEHHA has
14 concerns about the paper not providing sufficient details
15 of the measurement itself methodology, because I think
16 it's quite a -- strained to make the argument that even
17 one hour of exposure could be causing the developmental
18 abnormalities that were used from something which is not
19 an acute exposure, but is over many days. And it's up to
20 OEHHA if they actually would substitute the 50 parts per
21 million LOAEL approach, but certainly presenting it in
22 parallel as a justification I think would reinforce.

23 And just as a general principle, I don't find the
24 benchmark dose methodology so compelling that one should
25 jump through hoops to use a study with multiple levels of

1 exposure, if it really doesn't fit the need of what the
2 acute exposure is. That's just my own comment. I think
3 somehow that drove OEHHA in a way that may be
4 counterproductive. If all you have is what can provide a
5 NOAEL- or LOAEL-based approach, I think that's still okay,
6 if the tradeoff is worse. So that's my comment in that
7 regard, just reinforcing what Mike Kleinman said. So I
8 don't it's just explaining. I think they should provide
9 in parallel what the alternative calculation would be, and
10 they've done that quite frequently in these documents. So
11 I don't see it as precedent setting.

12 I want to also circle back, and maybe Dr. Lein
13 would like to comment on this as a neurotoxicologist, I
14 think OEHHA should be credited with calling out
15 leukoencephalopathy as a toxic effect. But I think in
16 doing so, they have overly muddied the waters by implying
17 that it's not specific and many things cause it, neither
18 of which is correct. It's a really specific clinical, and
19 radiologic, and pathologic finding. And there aren't that
20 many things that cause it. And most things that cause it
21 are actually pharmaceuticals, chemotherapeutic agents, and
22 an important drug of abuse called Levamisole.

23 So it's not a generic, nonspecific, common
24 environmental toxic endpoint. It's rather startling
25 actually in terms of this particular chemical. And it's

1 been reported repeatedly. I would actually urge, not only
2 that that wording be changed, so that it's not downplaying
3 the significance of this endpoint, but actually providing
4 a small table of the cases that they cite would be
5 helpful.

6 I would also note that they've really missed a
7 more recent case, which was from 2018 in Neurology, a
8 major journal. And it's a child with this endpoint, who
9 was also, for psychological reasons, abusing the
10 mothballs. And that's, author Patel, Neurology, 2018.
11 It's called, *Clinical Reasoning: 12-year old girl with*
12 *headaches and change in mental status*. Well, when you
13 read the actual clinical case, they very well document
14 that it's leukoencephalopathy.

15 Also, I'd point out that what you've seem to have
16 done is gone only back as far as 2015 or 2014 and nothing
17 before, which is okay, but you should say, we -- you know,
18 we have not cited anything before this, although there are
19 others, because there are some that are actually quite
20 important case reports, including 2009, Kumar and also
21 in -- I believe it was in Neurology, so -- in major
22 journals.

23 So, kudos for shouting out leukoencephalopathy,
24 but please I would say make it even stronger, that
25 section. This is a very important endpoint. It may not

1 be that relevant to your endpoint numbers, but for other
2 health protective regulatory reasons, I think it's really
3 important. And I just -- I have other comments, but I'd
4 stop there to give Pamela a chance maybe to comment for a
5 neurotoxicologic point of view.

6 PANEL MEMBER LEIN: No, I think you're absolutely
7 right, Paul. This is a relatively unique neurotoxic
8 outcome. It is associated with a relatively small subset
9 of environmental chemicals, mostly solvents. And I guess
10 I didn't really bring it up as a question mark specially,
11 because again the point of this exercise, which is to
12 derive RELs. However, I do think it's harkening back to
13 my comment that you provide a really strong rationale for
14 the studies you chose to -- that to use for deriving the
15 REL and why you excluded others, because this is a very
16 unique endpoint and kind of jumps in your face.

17 And when I think about this particular compound,
18 of course I'm biased because I'm a neurotoxicologist, that
19 is the endpoint I think of. But again, it's typically
20 higher exposure levels, so -- but I do think it would be
21 worthwhile to call this out. And I agree with Paul, it is
22 a relative unique endpoint of neurotoxicity.

23 PANEL MEMBER BLANC: So let me -- thanks. Let me
24 go through my other points. This is a small one, but
25 actually touches on the very same issue, because it has to

1 do with the mothball abuse syndrome, which they don't use
2 that term, but it's been used in the literature. There --
3 you -- the term "incidental ingestion" was used -- you
4 know, "accidental" and "incidental". I don't know what
5 incidental means, because it's intentional. I wondered if
6 that was a typo for "intentional". It's an abuse
7 situation. That's why there's such high levels of
8 exposure.

9 Unrelated to that, I want to ask a little bit or
10 comment about the metabolism and the CYP section. So when
11 it's first brought up, it just talks about CYP
12 generically. And, you know, I wrote note to myself, well,
13 which one? And then a couple of pages later, it starts
14 talking about specific isoenzymes. So -- and makes the
15 point that CYP2E1 is the dominant human isoenzyme for
16 metabolism, but then says a little bit later, or indicates
17 a little bit later, that another of the enzymes also is at
18 play in human metabolism. I think that section could be a
19 little bit clearer, because it's mutually -- what does
20 dominant mean? Does that mean 51 percent or does that
21 mean 98 percent?

22 And beyond that, it's not really clear to me from
23 the document. Is it the assumption that it is the
24 metabolites which are responsible for toxicity and the
25 native unmetabolized compound is not toxic? You know, eye

1 irritation is likely to be just from the parent compound,
2 I would -- I would guess. I don't know for sure. But it
3 would be good to say explicitly, you know, what the issue
4 is. Why? Because for some of these isoenzymes, a lot of
5 people are on medications, humans, that would inhibit the
6 enzyme or induce the enzyme. So that speaks to the
7 spectrum of vulnerability in the population. And I might
8 also say that the -- there was no explicit comment on the
9 storage of the parent compound in fat that might have
10 implications for vulnerable subpopulations. Does that
11 mean that since half the population is overweight, or more
12 three-quarters, that those people who would be more at
13 risk from exposure to this chemical, because they would
14 store it or what?

15 And similarly, the data from the national
16 metabolite sampling that shows a 50 percent fall over the
17 last 20 years, I'd be very curious -- I mean, there's
18 no -- you don't have an explanation, but there isn't
19 really any comment on even theoretically what might that
20 be due to, given the uses of the chemical that you've
21 described. Have market sales of this product as a
22 deodorant and as a mothball fallen 300 percent over the
23 last 20 years? Is there some -- what is the reason and
24 what is the source of exposures that might account for
25 that?

1 Also, I'm assuming that where mothballs end up is
2 in a landfill. Is any of the hot spot data that you have
3 relevant to landfill disposal of this chemical, is
4 there -- are there higher levels or any levels detectible
5 near solid waste landfill?

6 Your -- the comment in the -- in the oral
7 presentation, which I didn't actually catch in the written
8 text, it may be there too, that a problem with
9 population-based levels is misreporting of exposure is
10 completely irrelevant to biological monitoring. I mean,
11 that's what it is. It doesn't matter if they reported it
12 or they didn't report it. If the argument in favor of
13 biological monitoring is that you're independent of
14 self-reported exposure, it is what it is. So if that's in
15 the text somewhere, it doesn't -- it really shouldn't be
16 there.

17 I think that's mostly my comments. One other
18 area that I should mention was it was stated fairly
19 explicitly that dermal absorption does not occur with this
20 chemical. And that may be true, but -- because I
21 certainly couldn't find anything in the literature on it,
22 but what is that based on? Is there a study that
23 actually -- an experimental study that showed it doesn't
24 pass the dermis? I'm just curious if that's -- if we're
25 sure about that. It's also a comment not relevant to your

1 REL derivations, but just from a more public health
2 protective thing.

3 And then the other question that came up, as I
4 saw the presentation that I didn't really clue in on as I
5 read it, is these tests for trend that you show with the
6 tables, I understand the pairwise comparison between
7 highest and lowest. And when you have three levels of
8 exposure and there's zero cases, zero cases, and then 20
9 percent incidence, and you say the trend is positive, it
10 actually surprises me a little bit that the statistics of
11 that are positive. It doesn't really suggest a trend.
12 It's just one out of three is elevated. So, I'm wondering
13 what -- was that a nonparametric test of some sort or an
14 inappropriate use of a parametric test? How was that --
15 how was that done? Was it a chi-squared test for trend or
16 something? It just -- it surprised me a little bit on
17 those, and that's just technical question. Maybe the
18 biostatistical person on the Panel can comment on that.

19 That's it.

20 CHAIR ANASTASIO: Thank you Paul. Karen, you
21 want to talk about the last point and then I'll ask OEHHA
22 if they want to have any responses.

23 PANEL MEMBER MESSER: Yeah. I'm happy to look
24 into it. I think the test for trend wasn't specified.
25 Oh, here it is, the Cochran-Armitage Trend Test. And, you

1 know, that test can be positive when there's not a linear
2 trend, when you've got some bouncing around and then
3 something increases on an extreme category. So that's not
4 unusual to have that occur.

5 It's a matter of interpretation how you might
6 interpret that. So I'm happy if there are some specific
7 instances where you think the interpretation might not be
8 reasonable. I'm happy to look at that. So, you know, if
9 you just send me some page numbers, I'll take a look.

10 CHAIR ANASTASIO: Thank you, Karen.

11 Rima or anyone else from OEHAA, any responses to
12 Paul's comments?

13 DR. RIMA WOODS: Thank you, Dr. Blanc for all of
14 the comments. So I think that we will definitely look
15 into adding one of your first points was providing the
16 alternative calculations based on the human studies. So
17 that's something that we can add to the acute REL
18 derivation section to sort of give it some context, when
19 an equivalent acute REL could be based on those
20 occupational studies.

21 And then for the leukoencephalopathy point, we
22 will definitely go back and revise that section and maybe
23 we'll look for the studies that you mentioned and then any
24 additional case studies that we can find, and then maybe
25 strengthen the link within the document for

1 leukoencephalopathy to 1,4-DCB. Is it -- you mentioned it
2 sounded like we downplayed it a bit. So we'll make those
3 revisions to strengthen that connection.

4 And then for the metabolic issues with the
5 cytochrome -- with the CYP2E1, we can go back and again
6 revise that section as well, make it clear, bring the main
7 isozyme up front and maybe do a little more explanation
8 and be a little more clear with primary, predominant CYP
9 versus any additional CYPs that might be involved. So we
10 can -- we can sort of strengthen that as well.

11 And as far as the NHANES date with the reduction,
12 we could do some digging to see if we can find any
13 supporting information for what may have caused the
14 decrease in the biomonitoring levels. And then, let's
15 see, I know that there is a downward trend in use, so 50
16 percent decrease, just based on some information we found
17 pretty quickly. It looks like there's been a 50 percent
18 decrease in the total amount.

19 Is this the geometric mean? Yeah. Okay.

20 So -- oh, yeah. So, we're looking at between two
21 to five times decreased use in terms of millions of pounds
22 in the U.S.A. And so there just seems to be a huge
23 decline in the use. I know California banned the use as
24 air -- indoor air fresheners back 2006. But we can maybe
25 bring in some information for nationwide -- a sort of

1 nationwide view.

2 PANEL MEMBER BLANC: That would be -- that would
3 be great, because I think that strengthens the importance
4 of the whole topic, in a way.

5 DR. RIMA WOODS: Great. Then we'll do that.

6 And then just the last point I know you had
7 mentioned dermal -- the dermal exposure issue, our point
8 about dermal absorption being low, it was based on a study
9 in rats with looking at the lethal dose for rats. And it
10 was very high by the dermal route. It was more than six
11 grams per kilogram. And so based on that, we determined
12 that the dermal absorption for unbroken skin, of course,
13 is very high, so -- but we can add additional information
14 related to that, if you think, that would be helpful.

15 PANEL MEMBER BLANC: I think it would be, and
16 also because one of the toxic effects you showed was -- in
17 humans was dermal --

18 DR. RIMA WOODS: Dermatitis.

19 PANEL MEMBER BLANC: -- dermatitis, so, you know,
20 the chemical causes a condition which would promote its
21 determine absorption, if that's true, right?

22 DR. RIMA WOODS: Yeah. No, that's great point.
23 Yeah, we can been definitely strengthen that. I know the
24 dermal route we don't spend too much time on. It's not a
25 relevant, but yeah, in this case, in light of the

1 dermatitis, we could definitely add that. So thank you.

2 And that's all I have, Cort, unless anyone else
3 has anything to add?

4 CHAIR ANASTASIO: Okay. Great.

5 Thank you very much, Paul.

6 Kathy, comments.

7 PANEL MEMBER HAMMOND: There we go. Trying to
8 unmute there. Sorry.

9 No. I think this is very interesting and
10 important work. What I was missing was there's nice
11 information about the concentrations in the air, indoor
12 and outdoor, and also the studies that have related the
13 air concentrations to urinary concentrations, which is
14 important, given that the most widespread information we
15 have is urinary from NHANES. And it seems like -- it
16 seems to me like it's important to circle back, having
17 come up with a REL, and think about what -- how does this
18 REL relate to what we know about exposures. As so in my
19 kind of rough looking at that, we are -- we are looking at
20 the general population.

21 Now, actually, I was wondering with the hot
22 spots, are we only concerned about outdoor air, is that
23 correct? And this is basically -- it's pretty clear it's
24 an indoor air source, both from the measurements that have
25 been made and what we know about the sources. So

1 occupation -- people are exposed occupationally, and
2 people are using exposed in their home due to products
3 that they're using. So the actual -- you know, is it, is
4 it appropriate? Is it appropriate to discuss the fact
5 that the indoor air concentrations, which are not hot
6 spots, are actually getting close to the RELs, you know,
7 in some cases exceeding them?

8 So, you know, the mean indoor in the 1987 Wallace
9 study was six -- well, let me back up. It's pretty clear
10 looking at the urinary and all of that -- well, that there
11 is a correlation between urinary and airborne. The levels
12 that are indoors -- that were measured indoors in '87,
13 were 6.2 ppb, and the chronic level being suggested as 0.8
14 ppb and eight-hours is 1.7.

15 And then the -- I know the levels are falling
16 indoors. And then the classroom levels were actually a
17 mean of 0.43, which is half of the chronic but if we look
18 at the eight-hour, it was a max in one school that was
19 just about at the eight-hour suggested REL. So children
20 in schools, you know, that is of concern. Again, that's
21 an older study and again that's indoors.

22 So I'm not sure, but it just feels to me like
23 that this information could be brought together at some
24 point in a concluding paragraph to say that these are the
25 RELs, that outdoors doesn't look like it's a major source,

1 but with all the work that you've done that's so
2 important, it's worth identifying the fact that indoors
3 really get above the RELs. I don't know if that's
4 actually -- but maybe that's not appropriate, but just
5 from a public health point of view, it feels important to
6 me.

7 CHAIR ANASTASIO: Thank you, Kathy.

8 Rima, any response? Any --

9 DR. RIMA WOODS: Yeah. No, I mean, I think it's
10 a really important point and it was brought up also in the
11 public comment that we received. And so we do develop the
12 values under the Hot Spots Program, you know, but the RELs
13 should be health protective regardless of the exposure
14 source. So they are meant to be applied, you know,
15 derived to be applied to facilities emitting, but we
16 consider them health protective regardless of where the
17 exposure is coming from. So I think that's an important
18 point to make.

19 And, I mean, we can discuss, you know, maybe
20 adding something into the document where we acknowledge
21 that indoor air is -- could be a major source. You know,
22 but again, since the focus is to derive it for the Hot
23 Spots Program, we're kind of in a, you know, sort of a
24 gray area, I agree, so...

25 PANEL MEMBER HAMMOND: And are there -- yeah. I

1 wasn't sure -- have measurements been made specifically to
2 be near the known sources? So I missed it if it was
3 there.

4 DR. RIMA WOODS: No. So there is one current
5 situation of air monitoring. So 1,4-DCB is part of the
6 list of VOCs that are monitored for in the SNAPS program,
7 which is under AB 617. And so that's the Study of
8 Neighborhood Air near Petroleum Sources. And so these are
9 communities that are located near petroleum sources, oil
10 wells, refineries. And 1,4-DCB is monitored. And I
11 believe there was one location where they did detect it.
12 I don't know how many locations they monitored for that,
13 though. And so --

14 PANEL MEMBER HAMMOND: I think that's the -- that
15 is at the heart of the Hot Spots is deciding what are
16 the -- we've talked about, in a different way in other
17 meetings, the importance of including communities'
18 concerns. And I think what we need to do in a hot spot is
19 to identify as much -- as well as we can, where are the
20 places that the community could be exposed to higher
21 levels, what are the hot spots for this compound? And I
22 don't think that that was laid out very clearly what you
23 just said.

24 DR. RIMA WOODS: Yeah, we can definitely make
25 mention of the SNAPS monitoring into the document as well.

1 PANEL MEMBER HAMMOND: Yeah. Thank you.

2 CHAIR ANASTASIO: Thank you, Kathy.

3 Beate.

4 PANEL MEMBER RITZ: Yeah. So thank you for a
5 well-written document. I enjoyed reading it. Whenever I
6 see pesticide, I wonder what it is that causes the
7 pesticide action, right? And this is a moth repellant,
8 but it's also actually killing them. So I went back to
9 the literature to figure that out, because it's not in the
10 documents. And it seems that the mode of action is
11 through oxidative stress handling or inducing, as well as
12 calcium handling and adenosine receptor inhibition that
13 contribute to calcium handling, which all, of course, are
14 modes of action that affect the nervous system, which then
15 would logically again support the neurotoxicity of
16 argument for this.

17 The other -- and I think that would be actually
18 nice to mention, so we don't just have people who are
19 addicted or psychologically disturbed and eat mothballs
20 who have leukoencephalopathy, which is a really serious
21 outcome, but then may be more minor neurotoxicities that
22 together with other substances could also eventually cause
23 neurotoxic action at different, you know, developmental
24 stages or during neurodegenerative action.

25 And that actually brings me to the other one.

1 When I saw CYP2E1, I thought, oh, that's one I know,
2 because it's important for Parkinson's. It's very much
3 expressed in the areas of the substantia nigra, and it's
4 an inducible enzyme, and it does cause oxidative stress
5 and mitochondrial inhibition. So again, you know, if this
6 is an agent that induces this CYP, then I would say we
7 should be worried about it more, as well as the nasal
8 route, because we are now thinking that, for example,
9 neurodegeneration like Parkinson's is being induced
10 through the olfactory bulb, which also came out in some
11 of your animal studies.

12 I'm not saying this is happening. I'm just
13 saying it made a lot more sense for me to put these pieces
14 together and maybe there's some space to actually do that
15 in this document to link these neurotoxic actions a little
16 bit better.

17 And otherwise, yes, I was very concerned when I
18 then also read that NHANES finds it in just about every
19 urine sample, and very glad to see that it has been going
20 down, but it's still around, and, you know, so that is
21 something we should -- we should make that circle again
22 that Kathy has suggested. That's it.

23 CHAIR ANASTASIO: Thank you, Beate.

24 DR. RIMA WOODS: Thanks for those comments. We
25 can look into adding the pesticidal mechanism of action

1 into the document and some of the background just so that
2 it's clear that there is, you know, neurotoxic mechanism
3 in the insects, just so that we clearly lay that out.

4 CHAIR ANASTASIO: Pam.

5 PANEL MEMBER LEIN: I just would add to that,
6 however, that oxidative stress is not a uniquely
7 neurotoxic effect, and that this could actually explain
8 some of the other systemic effects that you're seeing in
9 both the human and the rodent studies. And it's not clear
10 actually that the insecticide is really a neurotoxic
11 effect. There is some indication that it could also be
12 due to effects on the GI system, for example, in these
13 insects. So I would -- I would caution you against making
14 a strong link to the neurotoxicity, which does not appear
15 to be one of your more sensitive endpoints, but it would
16 be interesting, I think, to include information on what is
17 known about the mode of toxicity or mode of action just to
18 say that there is -- there's a biologically plausible
19 explanation for how this compound could be causing these
20 effects.

21 CHAIR ANASTASIO: Thank you, Pam.

22 Okay. Ahmad, your turn.

23 PANEL MEMBER BESARATINIA: Oh, good morning.
24 Thank you again. I echo the comments of other Panel
25 members regarding the extensive work that went into

1 preparation of this document. Very nice read. I have a
2 general comment and a couple of comments regarding the
3 content of this document.

4 First, the general one. I have noticed that
5 there has been a steady decrease in the number of comments
6 and feedback that you receive from the industry and
7 stakeholders on these technical support documents the past
8 few years. I remember we used to receive several, you
9 know, responses and comments from the industry on these
10 types of document, some of which were very relevant, some
11 of which were very informative providing a different
12 perspective and making it possible to have a -- you know,
13 a different -- look at the different point view and have a
14 fruitful debate.

15 I'm wondering has there been any change in the
16 way that these documents are communicated to stakeholders
17 and the industry, and the opportunity for them to comment
18 on this and respond to this, and is there any specific
19 reason why we are not receiving comments from them?

20 DR. RIMA WOODS: Yeah. So I can just say this.
21 We haven't changed our -- you know, our approach to
22 releasing the documents. When we release them, we put
23 them on our website. We announce through a listserv. We
24 also publish a CRNR notice so that goes into the registry
25 for California. So we are still following the same, you

1 know, approach that we have used for previous documents.

2 So I don't have an answer for why we have received less.

3 We are still holding two public workshops. So we
4 hold one in Northern California, one in Southern
5 California, and actually we've tried to increase outreach
6 by making sure that our Northern California meeting is
7 hybrid, so folks can tune in online. They can email
8 questions in live. We can answer them live during the
9 meeting. Whereas, prior to COVID, they were in-person
10 only and then during COVID, they were just by Zoom. So
11 we've done our best to make sure that those who would
12 prefer to attend virtually still can do so for at least
13 one of the two workshops.

14 PANEL MEMBER BESARATINIA: Thank you for
15 clarifying it. Just a couple of questions with regard to
16 the document itself. The first one is with regard to the
17 presentation of data in Table 1, which is in page 13. My
18 question was whether the data that are presented here --
19 because I see some missing data. For example, if you look
20 at this table, it goes from -- in row four, it jumps from
21 2004 to 2011. There is a like six-, seven-year period
22 missing data. I'm wondering whether this is because you
23 selectively presented this data or this data were not
24 available to you, and that is why they're not included?

25 And my second question with regard to this is,

1 also I see that in the second column when you're providing
2 data, specific for age, you are presenting it as old
3 versus children. Since you are putting so much emphasis
4 on vulnerable populations and the susceptibility of the
5 children as compared to adults, I'm wondering wouldn't it
6 be more appropriate to show the data for adults versus
7 children, because old would include both adults and
8 children? So we would get a better idea how these levels
9 through the years changed in adults versus children of
10 those ages, because I see the data for children from 2003
11 up to 2016, are stratified for age six through 11, and 12
12 to 19.

13 And I had one more question. Maybe you can
14 respond to this and then I will follow.

15 DR. RIMA WOODS: Yeah. So we did our best to try
16 to represent as much data as we could from NHANES in a
17 single table, which was rather challenging. So we can go
18 back and confirm that we're not excluding any data. As
19 far as I know, this is the data that was available to us.
20 And we did try to break it up for the intermediary years
21 for just children ages six to 19, as you mentioned how we
22 have those sort of broken out. And so we can look back
23 and confirm the data for adults alone and represent that
24 as well, so that you can see a comparison, rather than
25 lumping them into all.

1 PANEL MEMBER BESARATINIA: Thank you. That would
2 be helpful if the data are available. I think their
3 inclusion in this table would be very helpful.

4 My last question is on page 19 of this document.
5 You have stated that there are limitations in one of the
6 study, Hollingsworth. And the second part of that
7 paragraph indicates that, "Concentration data is listed as
8 concentration ranges with median values, in which peak
9 exposure concentration cannot be determined, and therefore
10 a clear qualitative correlation between concentration and
11 the sensory irritant effect cannot be corroborated." So
12 my question to you is why is it necessary to have the peak
13 concentration in order to evaluate the correlation between
14 these two variables, because clearly, you have the median
15 value and you have the range. One would -- could argue
16 that you don't really need the peak. You can easily use
17 even the lower range in order to be, you know, extra
18 conservative in evaluating. Can you clarify this for me?

19 DR. RIMA WOODS: Okay. So that's a good point.
20 I think we can revisit that study and take a look and see
21 if possibly using the average concentration or, as you
22 mentioned, the lower range -- or the low end of the range
23 could provide us with a different way of looking at the
24 data. This is one of the older studies with some limited
25 information. And so, yeah, I think it would be good if we

1 could go back and take a look at that study and see if
2 there's another way that we can look at the data, rather
3 than just saying the peak data is not there, so we can't
4 use it.

5 PANEL MEMBER BLANC: I just want to chime in.
6 Paul Blanc here. Isn't that the study we've been talking
7 about in terms of the parallel calculation or have I lost
8 track?

9 DR. RIMA WOODS: No. I believe that is the study
10 with the 50 ppm was determined.

11 PANEL MEMBER BLANC: Right. I mean, we're all --
12 it is all the same study or are there two different
13 occupational studies with eye irritation?

14 DR. RIMA WOODS: I'd have to double check on
15 that.

16 PANEL MEMBER BLANC: Yeah. I mean, it just -- it
17 just underscores the importance of what Ahmad is saying,
18 because, you know, you don't want to cut the ground out
19 from underneath that study in such a manner that you can't
20 use it at least for parallel calculation, if that is
21 indeed the same study, because that was my memory of it,
22 but I don't have the whole thing open in front of me. And
23 I think part of the problem is the use of the word
24 "correlation" in a nonscientific way as opposed to the
25 implication of a scientific correlation. I think that's

1 the point. The -- correlation literally is not what you
2 meant there. You meant -- I don't know --

3 PANEL MEMBER MESSER: Association.

4 PANEL MEMBER BLANC: -- association or something.
5 So that I think got you into trouble a little bit.

6 CHAIR ANASTASIO:

7 PANEL MEMBER BESARATINIA: Thank you. That's all
8 I had. Thank you, Cort.

9 CHAIR ANASTASIO: Great. Thanks very much,
10 Ahmad.

11 Karen.

12 PANEL MEMBER MESSER: Yeah. I found the
13 exposition to be very clear in this report. As my
14 colleagues did, I had a little -- I didn't find
15 statistical issues. I thought the statistics were clearly
16 described. I do see what is troubling, Paul, with going
17 back and rereading. I see what's troubling him with the
18 presentation of the trend results and I can make just a
19 suggestion about how to present those results so that they
20 don't raise the confusion. I think what's happened there,
21 there's a statistical trend across quartiles. And then to
22 summarize the strength of the trend, the report presents
23 odds ratios from the last to the first quartile. And I
24 think that's a good summary statistic, but it doesn't
25 necessarily describe the trend. So I -- I'll make a

1 suggestion about how to word that presentation. I think
2 it's very good actually.

3 Along with Dr. Hammond, I had a little bit of
4 trouble figuring out the public health importance of the
5 issue, just getting a sense for it, because it seemed like
6 the exposures were from eating mothballs or other acute
7 extreme exposures, and there wasn't a lot of information
8 about occupational exposure or just ambient exposures. So
9 that was just a general comment. It was hard for me,
10 especially in light of the tenfold reduction in urine
11 levels in adults, it was a little hard for me to get a
12 feeling for the public health importance of this
13 particular compound. But I found the report to be very
14 clearly presented and I didn't identify any statistical
15 issues.

16 CHAIR ANASTASIO: That's great. Thank you,
17 Karen. It is always fantastic to have a statistician
18 weigh in on statistics. So thank you.

19 Joseph, it's nice to see you.

20 PANEL MEMBER LANDOLPH: Nice to be seen.

21 (Laughter).

22 PANEL MEMBER LANDOLPH: Thank you, Cort.

23 I thought it was a terrific document, as
24 everybody else did. I thought a huge amount of work went
25 into it, and I was very pleased to be a reviewer. The

1 scientists, of course, that wrote it, Daryn Dodge, and the
2 technical reviewers, John Budroe, Martha Sandy, Dr. Woods,
3 are all terrific scientists and they did a terrific job
4 writing and reviewing this. It was a very clear document
5 to me as well. The summary was clear. The major uses,
6 and occurrence, and exposures were clear. Toxicokinetics
7 was clear. Acute toxicity of 1,4-DCB was very clear and
8 so was the chronic toxicity of this compound, the
9 developmental and reproductive toxicity, and the
10 derivation of the reference exposure level. So it was a
11 pleasure to read and I didn't have too many problems with
12 it.

13 I'll just mention one or two very small things,
14 but they are very small. I guess it was on page -- let's
15 see, page eight on lines 324 to 326, it says, "Microsomes
16 produced the least reactive metabolites." It's a phrase.
17 It should be microsomes producing the least reactive
18 metabolites, and I just want to make the grammar as
19 fantastic as the rest of the document.

20 The -- I think metabolic scheme was correct and
21 drawn in detail from the literature and was very good. It
22 help me to read through the document, so I was very happy
23 with it. I don't think there was anything I didn't
24 really, really like.

25 I would suggest, just as a friendly reviewer, in

1 the future, that I understand you said you wanted to
2 present some things by themselves or congregate -- add it
3 together with other things, and I had a little bit of
4 trouble when you did that, because I was trying to follow
5 the -- what you were talking about in the original tables
6 and I got lost a couple of times. So to the extent that
7 you can, I would recommend that you refer to the actual
8 tables or you can say an aggregation of two tables, 1, 2
9 and 3, or something like that. Otherwise, it's a little
10 tough to read it, you know, keep up with you when you were
11 giving such a nice presentation.

12 So in general, I don't have any dramatic comments
13 to make. They're all very small. I thought the document
14 was good just like everybody else did, and I was pleased
15 to read it. It was very informative, and that's about all
16 I have to say.

17 Cort, do you want our written comments or is what
18 they get from the transcript good enough?

19 CHAIR ANASTASIO: Rima, would prefer comments in
20 writing?

21 DR. RIMA WOODS: If there are additional
22 comments, or as Dr. Messer had kindly offered to give us a
23 suggestion for wording, we're more than happy to take them
24 written. We will also refer to the transcript when we
25 receive it to make sure that we hit everyone's comments.

1 So if the Panel would like to send us written -- a written
2 version of comments, we'd be grateful, but not necessary.

3 PANEL MEMBER LANDOLPH: Yeah. Okay. I'll do
4 that then. I'll send you some written comments as well.

5 CHAIR ANASTASIO: Yeah. Great. Thank you very
6 much, Joe. So I echo what the Panel has already said
7 about this being a very clear, well-written document. I
8 have just some minor comments that are not worth
9 discussing, so I'll send them via email in written form.

10 So that wraps up our discussion. Any final
11 comments from the Panel before we go to public comment?

12 Okay. Seeing none, Hnin Hnin, will you describe
13 how one can give a public comment.

14 DR. HNIN HNIN AUNG: Thanks, Cort. Yes, I will.
15 We'll now recommend for this item will be open. To
16 participate, please raise your hand using the raise hand
17 icon. I will call on you in the order that the hands are
18 raised and we will unmute you when it is your turn to
19 speak. Each speaker will have two minutes to share their
20 thought comments. A reminder will be given 30 seconds
21 before your time is up. After which, you will be muted.
22 Thank you for participation.

23 CHAIR ANASTASIO: Well, we'll give people a
24 minute or two to raise their hands.

25 DR. ARASH MOHEGH: Can people raise their hand in

1 Zoom? It's possible.

2 DR. HNIN HNIN AUNG: Yes.

3 Okay. So I saw one. Thank you. Please, I
4 apologize if I don't pronounce correctly. So you can you
5 unmute. I think we have to unmute. So by will unmute you
6 and you can speak. We will -- I will remind you again
7 that we will remind you 30 seconds before your time is end
8 and then we will mute you.

9 DR. HNIN HNIN AUNG: Yes, you can.

10 Did you unmute already. Yes, you can. You can
11 start to speak.

12 BYANKA SANTOYO: Good morning. Thank you so much
13 for all this information. I've been listening to it. I'm
14 also part of the AB 617. I'm a community organizer for
15 Center Race, Poverty and the Environment.

16 My concern about this toxic is that if it's going
17 to be placed on the pesticide notification as a restricted
18 material? I know that in -- I was listening to the Panel
19 how they were mentioning children being exposed. And it's
20 concerning when we are in the Central Valley and
21 pesticides are heavily used in the area. And as us
22 parents, I'm calling myself in this mix, but as us parents
23 do not know what our children are being inhaled or our
24 families are being inhaled.

25 One question, is DPR going to be involved in this

1 process for the pesticide review panel, the review -- or,
2 I'm sorry, the review or -- and if so, would it be placed
3 on the pesticide notification system? I know that they're
4 only doing a limited amount of pesticide notification for
5 when it starts in March, but it would be interesting to
6 see if there is any facilitation for parents or schools to
7 know that there's this pesticide near their school
8 settings.

9 CHAIR ANASTASIO: Thank you, Byanka, for your
10 question. This is actually more of a Department of
11 Pesticide Regulation question. And I'm not sure, OEHHA,
12 do you feel comfortable addressing the question?

13 DR. RIMA WOODS: So the document is developed
14 under the Hot Spots Program, and the Hot Spots Program
15 doesn't have much interaction with DPR. So I think it
16 would be best, yeah, to definitely reach out to DPR and
17 request information regarding any use that they're aware
18 of, and if they plan to put it on the notification system,
19 but we wouldn't have that information unfortunately.

20 CHAIR ANASTASIO: Yeah. Just to mirror what
21 Kathy and others have said, Byanka, is, you know, one of
22 the major exposures is from indoor air. So use of
23 products that contain 1,4-dichlorobenzene is one of the
24 major routes where people get exposed to this. So if you
25 can be careful about what you bring into your home, that

1 should really reduce the levels that your children are
2 exposed to.

3 All right. Thank you Byanka. Hnin Hnin, do we
4 have additional comments?

5 DR. HNIN HNIN AUNG: No. Please raise your hand
6 if you want to comment.

7 CHAIR ANASTASIO: Hnin Hnin, do I take that as a
8 no?

9 DR. HNIN HNIN AUNG: Yes. No. Yeah. We
10 haven't -- yeah.

11 CHAIR ANASTASIO: Okay. All right. Then we will
12 close the public comment time and we'll move on to our
13 final piece, which is consideration of administrative
14 matters.

15 First, just an update. If you remember, we met
16 in August 2024 to consider the isoprene IUR. Just to give
17 you an update on that. It's been revised, and finalized,
18 and approved in November. So isoprene is finished.

19 Number two, the advisory bodies, such as the
20 Scientific Review Panel have been given permission to
21 continue to meet remotely through December of 31st of
22 2025. So I expect that we'll have at least one other
23 meeting before then. And so the plan will be to have that
24 over Zoom as well.

25 Next, just to reiterate, the terms for many of us

1 have expired and progress has been slow. I'm going to
2 reach out to members whose appointments have expired and
3 asked you if you have suggestions for new members who
4 might take your position. And I'm hoping this will move
5 the process forward a little bit. If you're interested in
6 being reappointed - for example, I think Mike is. Thank
7 you very much, Mike - then just reiterate that in the
8 email, and that would be great, because that should make
9 things go more quickly, and it would be great to have you
10 continue.

11 Final piece, I know that at least some of our
12 Panel members have been terribly effected by the fires in
13 Southern California, and I imagine the same is true for
14 other people on our call today, including possibly agency
15 staff. And I just want to say on behalf of the Panel,
16 really deeply sorry for your losses. And I hope the
17 recovery is not too long and too painful, but we're
18 definitely thinking about you.

19 Those are the only administrative matters that I
20 had. Are there any items from the Panel administratively?

21 Okay. Oh, one thing I forgot to do, which I
22 always forget to do, a decision on how we will proceed
23 with today's item and the revisions. So our standard
24 procedure for items that are for straightforward, such as
25 today's, has been that OEHHA revises the document, they

1 send it out to the leads and me, and then those people
2 give their thumbs up or have alternative or additional
3 comments. And then we just do it -- the final approval
4 over email. Is that acceptable to the Panel?

5 PANEL MEMBER BLANC: Yes.

6 PANEL MEMBER LANDOLPH: Yes.

7 PANEL MEMBER MESSER: Yes.

8 CHAIR ANASTASIO: Okay. Great. If definitely
9 saves us time.

10 PANEL MEMBER RITZ: Yes.

11 CHAIR ANASTASIO: So, Rima, we'll proceed the way
12 we've been doing.

13 That's all I have. So if there are no other
14 items, can we get a motion to adjourn?

15 PANEL MEMBER BLANC: I so move.

16 PANEL MEMBER LANDOLPH: Second.

17 CHAIR ANASTASIO: Second. Excellent. All in
18 favor raise your actual hand?

19 (Hands raised).

20 CHAIR ANASTASIO: Okay. We're unanimously in
21 favor of stopping.

22 Fantastic. I want to thank all the Panel
23 members. Appreciate that. I want to thank OEHHA and all
24 the staff for your work on this document and I hope
25 everyone has a great rest of your week.

1 Thank you, all.

2 (Thereupon the California Air Resources Board,
3 Scientific Review Panel adjourned at 11:10 a.m.)
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CERTIFICATE OF REPORTER


I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, do hereby certify:

That I am a disinterested person herein; that the foregoing California Air Resources Board, Scientific Review Panel meeting was reported in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California;

That the said proceedings was taken before me, in shorthand writing, and was thereafter transcribed, under my direction, by computer-assisted transcription.

I further certify that I am not of counsel or attorney for any of the parties to said meeting nor in any way interested in the outcome of said meeting.

IN WITNESS WHEREOF, I have hereunto set my hand this 3rd day of March, 2025.



JAMES F. PETERS, CSR
Certified Shorthand Reporter
License No. 10063