

UCI - Planning Colloquium on  
Epidemiology and Air Pollution

Final Report  
Contract No. A833-114

Prepared for:  
Research Division  
California Air Resources Board  
1102 Q Street  
P.O. Box 2815  
Sacramento, CA 95812

RECEIVED  
CALIFORNIA AIR RESOURCES BOARD  
P.O. BOX 2815  
SACRAMENTO, CA 95812

Submitted by:  
University of California, Davis  
Irvine, CA 92717

Prepared by:  
James L. Whittenberger, M.D.  
Professor Emeritus  
University of California, Irvine  
Chairperson

December 1990