

MEETING  
OF THE  
SCIENTIFIC REVIEW PANEL ON TOXIC AIR CONTAMINANTS  
CALIFORNIA AIR RESOURCES BOARD

ELIHU HARRIS STATE BUILDING  
ROOM FOUR, SECOND FLOOR  
1515 CLAY STREET  
OAKLAND, CALIFORNIA

FRIDAY, JUNE 20, 2003

9:00 A.M.

JAMES F. PETERS, CSR, RPR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

APPEARANCES

MEMBERS PRESENT

Dr. John Froines, Chairperson

Dr. Paul D. Blanc

Dr. Gary Friedman

Dr. Stanton Glantz

Dr. Katharine Hammond

Dr. Joseph Landolph

REPRESENTING THE CALIFORNIA AIR RESOURCES BOARD

Mr. Jim Behrmann

Mr. Robert Krieger

REPRESENTING THE OFFICE OF ENVIRONMENTAL HAZARD ASSESSMENT

Dr. George V. Alexeef, Deputy Director for Scientific  
Affairs

Dr. James F. Collins, Staff Toxicologist

Dr. David Morry, Staff Toxicologist

Dr. Mark Miller

Dr. Andy Salmon, Chief, Air Toxicology and Risk Assessment  
Unit

PETERS SHORTHAND REPORTING CORPORATION (916) 362-2345

## INDEX

	PAGE
1. Consideration of a "Proposal for the Adoption of the Revised Toxicity Equivalency Factor(TEF) Scheme" (January 3, 2003), a revision to Appendix A of "Air Toxics Hot Spots Risk Assessment Guidelines, Part II, Technical Support Document Describing Available Cancer Potency Factors"	15
2. Continued discussion of a chronic Reference Exposure Level(REL) for fluorides (including hydrogen fluoride), an addendum to "Air Toxics Hot Spots Program Risk Assessment Guidelines, Part III: Technical Support Document, Determination of Noncancer Chronic Reference Exposure Levels"	140
3. Discussion of a petition to the Air Resources Board to review the formaldehyde risk assessment	116
4. Update on preparation of a report for the identification of Environmental Tobacco Smoke (ETS) as a toxic air contaminant	70
5. Discussion of the Department of Pesticide Regulation's (DPR) plans for addressing pesticides as possible toxic air contaminant	93
6. Consideration of administrative matters	4
Adjournment	178
Reporter's Certificate	179

1 PROCEEDINGS

2 CHAIRPERSON FROINES: If we can call the meeting  
3 to order.

4 We do have a quorum for the meeting. So we'll  
5 formally open the meeting for June 20th, 2003, of the  
6 Scientific Review Panel established under AB 1807.

7 I'm going to switch the agenda a little bit, to  
8 discuss, quote, "administrative matters" at the outset.

9 And I want to do two things: 1) The first thing  
10 is to -- I'd like in fact everybody to introduce  
11 themselves.

12 But in particular I want to introduce two new  
13 members of the Panel. On my left is Joe Landolph, who is  
14 a professor at the University of Southern California and  
15 I'll ask Joe in a minute to say a little bit more about  
16 himself. And on my right is Katharine Hammond, who is at  
17 the School of Public Health at UC Berkeley.

18 And so what I'd like to do at the outset is to  
19 have, first, the other members of the Panel who are here  
20 just quickly say who they are to Joe and Kathy. And then  
21 Joe and Kathy can say a little bit about themselves.

22 So Stan.

23 PANEL MEMBER GLANTZ: I'm Stan Glantz. I'm a  
24 professor at UC San Francisco in the Cardiology Division.  
25 I also teach statistics. I'm the biostatistics person on

1 the Panel. I also do a lot work on tobacco and secondhand  
2 smoke. And I'm one of the -- I've nagged DPR since even  
3 before John did, with about the same effect.

4 CHAIRPERSON FROINES: What he's trying to say,  
5 Joe, is that he's been on the Panel for a long time.

6 (Laughter.)

7 PANEL MEMBER GLANTZ: Not as long as he has, but  
8 almost.

9 CHAIRPERSON FROINES: Paul.

10 PANEL MEMBER BLANC: Dr. Paul Blanc. I'm a  
11 professor of medicine at the University of California San  
12 Francisco and chief of the Division of Occupational and  
13 Environmental Medicine within the Department of Medicine,  
14 the same department as Dr. Glantz. And, like Dr. Glantz,  
15 I'm also a member of the Cardiovascular Research  
16 Institute.

17 PANEL MEMBER FRIEDMAN: I'm Gary Friedman. I'm  
18 an epidemiologist. And I spent most of my career at  
19 Kaiser Permanente Division of Research here in Oakland.  
20 I'm officially retired from there, but I still spend about  
21 half time working there on various projects. And I'm also  
22 a consulting professor at Stanford and I spend about two  
23 days a week down there.

24 CHAIRPERSON FROINES: Okay. So, Joe, tell us a  
25 bit about yourself.

1           PANEL MEMBER LANDOLPH: I'm Joe Landolph. I'm an  
2 associate professor in the Department of Molecular  
3 Microbiology and Immunology at the University of Southern  
4 California. And I have secondary appointments in  
5 pathology and molecular pharmacology and toxicology. And  
6 I do the usual teaching committee service research.

7           My research is in the areas of chemically induced  
8 neoplastic cell transformation. And we study the cell and  
9 molecular biology of that process. We're real interested  
10 in looking at all the changes in gene expression that  
11 occur in transformed cells and how gene regulation -- the  
12 regulation of gene expression that becomes aberrant in the  
13 transformed cells. And we've worked with polycyclic  
14 hydrocarbons and nickel chromium and arsenic compounds for  
15 many years.

16           I've served previously and still serve on the  
17 CIC, where Dr. Froines and I were colleagues on that  
18 committee for probably about eight years, I guess. I'm  
19 delighted to be joining you on this Committee.

20           I also served -- I'm serving a two-year term on  
21 U.S. EPA Scientific Advisory Board and served on the  
22 Drinking Water Committee there. And a short term with Dr.  
23 Glantz on the Human Health Research Strategies Review  
24 Committee.

25           And I'm delighted to join you all and hope I can

1 help you out a little bit here and there.

2 CHAIRPERSON FROINES: Okay.

3 PANEL MEMBER FRIEDMAN: Excuse me for  
4 interrupting.

5 What is the CIC? I'm not familiar with those  
6 initials.

7 PANEL MEMBER LANDOLPH: That's the Carcinogen  
8 Identification Committee, which is the brother or sister  
9 committee to DART, which is the Developmental and  
10 Reproductive Toxicology. And those two boards report to  
11 OEHHA, the CIC for identification of carcinogens that have  
12 not been already listed on the authoritative bodies  
13 mechanism.

14 CHAIRPERSON FROINES: Just to clarify. That  
15 committee -- those two committees, the DART Committee and  
16 the CIC, were established under Prop 65. So they are --  
17 they focus on chemicals that are to be listed under Prop  
18 65.

19 PANEL MEMBER LANDOLPH: I also do a little bit of  
20 private consulting. If I feel I have any conflicts, I'll  
21 let you know and leave the room and have a cup of coffee.

22 CHAIRPERSON FROINES: This issue of conflicts of  
23 course has come up in spades around the issue of Chromium  
24 6. And so that's actually something that -- as we go  
25 through in the future, we will actually ask panelists

1 whether they have conflicts on a particular chemical so  
2 that everything is above board, in contrast to what  
3 occurred under Chromium 6 where there was a real problem.

4           PANEL MEMBER GLANTZ: Just for the record, that  
5 was not this Committee where the problem was.

6           CHAIRPERSON FROINES: No, it was a blue ribbon  
7 committee established ad hoc by Cal EPA and the  
8 president's office. And it did not look into conflict of  
9 interest issues sufficiently, and so there was a problem.  
10 But I think everybody's sensitized to the issue at this  
11 point. So as a particular chemical comes up, we'll have  
12 to ask the question to each Panel member the way we might  
13 do it on a national research council at National Academy  
14 Sciences process.

15           Kathy.

16           PANEL MEMBER HAMMOND: I'm Kathy Hammond at UC  
17 Berkeley School of Public Health. I'm a chemist and an  
18 industrial hygienist. My research is in expression  
19 assessment for epidemiology studies. An I've done both  
20 environmental and occupational studies. Some of the  
21 occupational studies include what came before this Board  
22 several years ago in railroad workers' exposure to diesel  
23 exhaust. And looking at reproductive effects in the  
24 semiconductor industry. More recently looking at lead and  
25 bridge workers and hexane exposures among auto mechanics.

1           Environmentally I've been looking at asthma  
2 particulate more recently, both in adult asthma with Dr.  
3 Paul Blanc, and a child asthma study in Fresno, the FACES  
4 Study. And also I've done a lot of work in environmental  
5 tobacco smoke.

6           CHAIRPERSON FROINES: Great.

7           I will never forget Kathy's presentation to this  
8 Panel when we were taking up diesel. Because the line  
9 that she said, I've used about a hundred thousand times.  
10 She said diesels are not computers. They don't change on  
11 a monthly, bimonthly, six-month basis.

12          PANEL MEMBER HAMMOND: Especially I said  
13 locomotives are not PCs.

14          CHAIRPERSON FROINES: So we're really pleased to  
15 have Kathy and Joe on the Committee. They bring a level  
16 of expertise that's really going to be beneficial to us.

17          For those of you who don't know, we are -- two  
18 members of the Committee, Craig Byus and Roger Atkinson,  
19 couldn't be here today, but they are ongoing members of  
20 the Committee. We have one vacancy in the area of  
21 pathology. And we're proceeding to try and fill that  
22 position since Peter Witschi retired. So we have one  
23 vacancy. But at this point we have essentially a full  
24 complement besides that. So we're in pretty good shape.

25          And now since we're flexing our muscles, what we

1 need is more chemicals coming before the Committee so we  
2 can then complain about having to work too hard.

3 (Laughter.)

4 CHAIRPERSON FROINES: So the second  
5 administrative item that I want to deal with is -- we've  
6 passed around a draft proclamation for Tony Fucaloro, who  
7 I think everybody would agree was a great member of the  
8 Committee, really made major contributions, of both in a  
9 technical sense but also in terms of having a terrific  
10 disposition and a very good sense of humor. And Tony was  
11 really very -- I don't want to talk about him as though  
12 he's passed on or something. But he was really a very  
13 contributing, strongly contributing member of this Panel.  
14 So we wrote this draft proclamation.

15 He has already received a letter from Winston  
16 Hickox, the Secretary of Cal EPA. So Tony's also been  
17 acknowledged by the Secretary. And Jim can make that  
18 letter available to the Panel. But what I'd like the  
19 Panel to do is take this draft -- there's no sense trying  
20 to finalize it today, no sense trying to -- well, Stan.

21 PANEL MEMBER GLANTZ: I think it's fine.

22 CHAIRPERSON FROINES: You do?

23 PANEL MEMBER GLANTZ: Yeah.

24 PANEL MEMBER BLANC: Reclarify the question. You  
25 lost me there.

1           CHAIRPERSON FROINES:  There is a draft  
2 proclamation that we want to send, with a cover letter  
3 from me to Tony Fucaloro.  You have a copy there some  
4 place.  And what I was saying is that if everybody agrees,  
5 that's fine.  Then we can bring it to closure.  If,  
6 however, people want to word-smith it --

7           PANEL MEMBER BLANC:  Let's send it.  Yeah, it's  
8 more important -- I agree, it's more important it be  
9 timely than it be perfect.

10          PANEL MEMBER HAMMOND:  I agree.

11          CHAIRPERSON FROINES:  So why don't we do this.  
12 Who don't we say that we will -- what's today -- Friday.  
13 If I haven't heard for changes by next, say, Tuesday, we  
14 will send it out as is.  Is that acceptable?

15          PANEL MEMBER GLANTZ:  I have one -- well, I'd  
16 like to make --

17          CHAIRPERSON FROINES:  Why does this not come as a  
18 surprise to me?  If anybody in this room --

19          PANEL MEMBER GLANTZ:  I'd like to make one slight  
20 change and move that we adopt it -- but it's like not  
21 controversial.

22                 I would just move --

23          CHAIRPERSON FROINES:  Those of you who are in the  
24 room remember the famous lead day we spent, where Stan had  
25 about 200,000 changes, as far as I can remember.

1           Go ahead.

2           PANEL MEMBER GLANTZ:   Well --

3           CHAIRPERSON FROINES:   It really improved the  
4 document, no question.

5           PANEL MEMBER GLANTZ:   And by putting it in the  
6 record, they couldn't ignore it.  But, anyway, that's  
7 another story.

8           It's just under the "Whereas, Tony brought his  
9 inimitable sense of humor," I would just say -- I would  
10 suggest we amend that to say, "Whereas, Tony brought not  
11 only his scientific expertise, but his inimitable sense of  
12 humor."  So it's clear that we're not just thanking him  
13 for telling a lot of --

14          PANEL MEMBER HAMMOND:   "But also his"?

15          PANEL MEMBER GLANTZ:   "But also his," yes.

16          CHAIRPERSON FROINES:   Would you give that to Jim.

17          PANEL MEMBER GLANTZ:   So I'd like to suggest that  
18 that amendment -- that we just adopt it.

19          CHAIRPERSON FROINES:   Well, make a motion.

20          PANEL MEMBER GLANTZ:   I so move.

21          CHAIRPERSON FROINES:   Secunder.

22          PANEL MEMBER HAMMOND:   Second.

23          CHAIRPERSON FROINES:   It was seconded.

24          All in favor, aye.

25          (Ayes.)

1 CHAIRPERSON FROINES: Unanimous approval.

2 So good.

3 So let's -- I think that's all the administrative  
4 issues that I know about.

5 PANEL MEMBER BLANC: One other administrative  
6 issue. I wonder if the record could show unanimously the  
7 panel's official wishes to Melanie for a speed recovery.

8 CHAIRPERSON FROINES: Yes. You want to make  
9 that?

10 PANEL MEMBER BLANC: I'd just like the record to  
11 show that the Board officially wishes Melanie Marty a  
12 speedy recovery in her period of illness.

13 PANEL MEMBER FRIEDMAN: I didn't know she was  
14 ill.

15 PANEL MEMBER BLANC: I think it was shared as an  
16 E-mail to the Panel. So I don't think I'm divulging  
17 something that wasn't --

18 PANEL MEMBER GLANTZ: I think we should leave it  
19 at that.

20 CHAIRPERSON FROINES: We can talk off-line about  
21 the situation.

22 So I think it's on the record. And if you'd  
23 like, I'll take and send a note to Melanie saying that the  
24 Panel wanted to express those feelings for her complete  
25 and quick recovery.

1           And I know Gary's a little bit not sure of what  
2 we're doing, but --

3           PANEL MEMBER FRIEDMAN: Well, I certainly support  
4 the sentiment regardless of whatever the illness is.

5           CHAIRPERSON FROINES: -- the details are, yeah.

6           PANEL MEMBER FRIEDMAN: And I don't have to know  
7 what the illness is.

8           CHAIRPERSON FROINES: I talked to her on the  
9 phone on Wednesday. And she was bright, spirited, in a  
10 very good mood. And so I think there's every indication  
11 that her long-term prognosis is positive. So it's -- she  
12 was just her old self. I mean she was just terrific. And  
13 so that was very reassuring.

14           Thanks, Paul.

15           Any others?

16           Okay. Onward.

17           Stan has to leave about noon. So we're going to  
18 move along hopefully to complete this meeting by noon.  
19 And I suspect we can.

20           And I just warn Kathy and Joe, that this is not  
21 necessarily the routine. When we have a chemical before  
22 us, it tends to take a little longer.

23           Okay. Andy.

24           Dr. Salmon.

25           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

1 SALMON: I'm just wondering whether you can hear what I'm  
2 saying, because I don't seem to have a microphone.

3 I'm going to start on the first item, which is  
4 the consideration of the proposal to adopt modified TEF  
5 schemes of dioxins.

6 Jim, could you pass out the -- I've got paper  
7 copies of the slides, which if you could pass copies to  
8 the Panel members. And I think we have enough for members  
9 of the audience to have some of those as well.

10 (Thereupon an overhead presentation was  
11 Presented as follows.)

12 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

13 SALMON: I'm just going to close this one because  
14 that's --

15 CHAIRPERSON FROINES: Andy, may I say one thing  
16 before you start?

17 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

18 SALMON: Certainly.

19 CHAIRPERSON FROINES: I just wanted to tell the  
20 Panel that we had a meeting with Janette Brooks and her  
21 staff on Wednesday. And one of the things that we agreed  
22 to was the Panel holding a workshop at some point in the  
23 future to discuss research findings that are occurring in  
24 the area of air pollution as a way of having a discussion  
25 about future possible toxic air contaminants that might be

1 brought before the Panel. So at some point within the  
2 next six months we'll be working on a workshop to  
3 incorporate the latest scientific findings as a means to  
4 try and facilitate the process of that TAC legislation.

5 I'm just doing a quick switch-around with  
6 microphones here so as not to disenfranchise Dr. Glantz.  
7 Not that I could ever achieve such a thing.

8 (Laughter.)

9 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

10 SALMON: Okay. Well, I'm going to just give you a very  
11 brief introduction as to what this item is all about here.

12 So this is the proposal to adopt a revised  
13 toxicity equivalency factor scheme. And this would apply  
14 to the carcinogenic effect of dioxin-like chemicals.

15 CHAIRPERSON FROINES: I should say  
16 parenthetically before you start, that this topic does not  
17 have a lead person from the Panel. So there's nobody here  
18 who is going to have the responsibility for the Panel of  
19 making a subsequent presentation. So we're going to be  
20 taking it up pretty much as we hear it.

21 --o0o--

22 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

23 SALMON: As I'll explain in a moment, this is the first  
24 time that you've seen this item. So this is, I hope, an  
25 introduction to the topic.



1 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

2 SALMON: Dioxin-like compounds have a number of well known  
3 toxic effects. They are immunotoxic. They have  
4 developmental toxicity. They function as endocrine  
5 disrupters at several different points within the  
6 endocrine system. And they are carcinogens. One of the  
7 interesting things about these effects is not only are  
8 these quite severe and dramatic effects in some cases, but  
9 particularly with some specific congeners of the dioxins  
10 and dibenzofurans the levels at which they are active are  
11 very low. So these are in fact among the most potent  
12 environmental toxicants that we have to deal with. And  
13 there's been a lot of interest over the years in these  
14 compounds.

15 --o0o--

16 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

17 SALMON: Although the levels in the general environment  
18 are in fact low -- and here you're talking about picograms  
19 of barely exposure typically -- it is nevertheless  
20 estimated by U.S. EPA that the current levels of exposure  
21 to the general population from sources such as food and  
22 other general environmental inputs exceed the effect  
23 threshold for some of the toxic effects. They're well  
24 known as biocumulators.

25 The major direct source of exposure from the

1 general population is in fact in food. But one of the  
2 reasons why historically we and the Air Resource Board and  
3 the Panel have had an interest in dioxins is because air  
4 is an important transport medium. Some of the historic  
5 and current major sources, in the things like  
6 incinerators, which were previously an important source.  
7 And as we discussed earlier when we were talking about  
8 dioxins in our presentation under SB 25, we think that  
9 there's a small but possibly significant input from  
10 sources like diesel exhaust and things of that sort. So  
11 that there are a number of current sources which are  
12 putting dioxin-like compounds into the air.

13 But the major direct exposure is from food. And  
14 the major location, if you like, is there's basically a  
15 reservoir source in the general environment because of the  
16 way they bioaccumulate and they accumulate in sediments  
17 and things of that sort.

18 --o0o--

19 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

20 SALMON: I mentioned just now that we had some  
21 consideration of dioxins under SB 25. I'm sure that the  
22 Panel members who were involved in this process remember  
23 that in all it's wonderful detail. But for the new  
24 members, I'll just run through what happened.

25 We were charged to identify --

1           PANEL MEMBER GLANTZ: You might tell the new  
2 people what SB 25 is.

3           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

4 SALMON: Yes. I'm Sorry.

5           SB 25 is the Children's Environmental Health  
6 Protection Act. And this required that we consider what  
7 effects the toxicity -- toxic air contaminants would have  
8 specifically on children and other vulnerable  
9 sub-populations. And the background of this is that most  
10 of the environmental standards which have been set  
11 previously in fact were set on the basis of toxicity in --  
12 either in adult animals or certainly directed to protect  
13 in the adult human.

14           And it's become clear that there are special  
15 issues in considering impacts on children's health. And  
16 this piece of legislation, which was introduced by State  
17 Senator Escutia, required us to specifically consider the  
18 toxic air contaminants, and also the criteria pollutants.  
19 But that's a separate process.

20           But the toxic air contaminants, we were required  
21 to review the toxicity of these identified materials. And  
22 to in particular identify the top five, which we felt had  
23 a high potential for differential impacts on children's  
24 health. But also to identify any others. And we have a  
25 timed program by which we are supposed to be reviewing

1 ultimately all the toxic air contaminants for possible  
2 differential impacts on children's health.

3           And the dioxin-like compounds and the TCDD and  
4 the other dioxins and dibenzofurans in particular were  
5 selected as one of the top five we should look at with  
6 high priority.

7           CHAIRPERSON FROINES: I think the two things we  
8 should do, one of which is, Jim should get to the two new  
9 Panel members the final document that discusses the five  
10 chemicals so you have that in your file. Secondly, the  
11 chemicals that we listed were polycyclic organic matter,  
12 lead, diesel, the dioxins -- PCBs -- and acrolein.

13           PANEL MEMBER FRIEDMAN: Since we've interrupted,  
14 could you move the microphone to that side? Because  
15 sometimes you turn to the side and I miss a couple words.

16           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
17 SALMON: I don't know whether I am in danger of pulling  
18 something over?

19           Does that work?

20           Okay, great. Thank you very much.

21           CHAIRPERSON FROINES: So those were the five that  
22 we identified. Kathy's eyebrows went up when I said  
23 acrolein. And so you'll find it interesting as you read  
24 the document.

25           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

1 SALMON: Yes. Well, we can work with Jim's and make sure  
2 that the Panel members all have access to that document.

3           Anyway, the major reasons why the dioxins were  
4 chosen include the widespread exposure; the important  
5 endocrine disrupting effects, including impacts on the  
6 thyroid and other systems; and immunotoxicity at low body  
7 burdens; and the demonstration that young animals are more  
8 susceptible than older animals; and, finally, the fact  
9 that in fact bioaccumulation and transfer in breast milk  
10 is an important exposure, by the way, for the infant  
11 human.

12                               --o0o--

13           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

14 SALMON: The dioxin-like chemicals have been of interest  
15 to the Toxic Air Contaminant Program for many years. And,  
16 in fact, the original identification was made in 1986, and  
17 this identified the tetrachlorodibenzodioxin, or TCDD and  
18 other dioxins and dibenzofurans as toxic air contaminants.

19                               --o0o--

20           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

21 SALMON: An amendment to the TAC program subsequently, in  
22 fact, added to the list all those materials which had been  
23 identified by the federal EPA as hazardous air pollutants.  
24 And this in fact broadened the range of chemicals in this  
25 class which were identified by the TAC program.



1 compounds is that there are lots of them, which are very  
2 similar. They vary in the degree of chlorination and the  
3 positions of the substituents. And although their general  
4 patent of toxicity is thought to be similar, the actual  
5 effectiveness, the cause of that varies according to the  
6 specific structure. And this applies both to the  
7 carcinogenic potency and to the other toxic effects.

8 --o0o--

9 The way this has been approached is to use what's  
10 called a toxic equivalency factor methodology. It is  
11 based on the fact that these compounds are structurally  
12 similar. And although few of the individual congeners  
13 other than the TCDD and the hexachloros have actually been  
14 looked at in specific bio-assays, they have been looked at  
15 quite extensively in various more easily performed  
16 biochemical assays and shorter term toxicity studies. And  
17 it is known that the patent of toxicity is shared between  
18 many of the chlorinated dioxins, dibenzofurans, and some  
19 of the chlorinated biphenyls.

20 These compounds, which I'll refer to as  
21 dioxin-like compounds from now on, share a common cellular  
22 mechanism of action, which includes activation of the  
23 hydrocarbon hydroxylase receptor -- the AH receptor --  
24 which is also important in the enzyme induction response  
25 to various other environmental contaminants, including the

1 polycyclic aromatic hydrocarbons. But the response to the  
2 dioxin-like compounds appears to be unique. And this is  
3 thought to be because of their extreme persistence that,  
4 unlike the PAHs, these compounds are very slowly, if at  
5 all, metabolized. And also they have a very high affinity  
6 for recepting. The combination of very slow, clear, and  
7 some -- and very high affinity means that this particular  
8 response has a unique character and severity for the  
9 dioxin-like compounds.

10           And what we do in order to assess the predicted  
11 response to a mixture of these compounds is to predict a  
12 level of response to the individual components of the  
13 mixture by applying a -- if you like, a correction factor  
14 which reflects the difference in activity -- in strength  
15 of activity between the individual congeners and the  
16 reference compound, which is TCDD, and the concentration  
17 of the individual congeners. And then these predicted  
18 responses are added up because they're assumed to follow  
19 the same mechanism and produce the same results.

20           So this is the standard additivity assumption,  
21 which is used in many toxicity situations.

22           PANEL MEMBER BLANC: And can you just back up for  
23 a second on two points.

24           One is the implication of your comments, your  
25 oral comments now, are that specifically the methodology

1 is focusing on the inhibition of the of the AH receptor as  
2 your tool by which to arithmetically calculate  
3 equivalency.

4 Are you implying more than you mean?

5 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

6 SALMON: I think I may be. I'm pointing that out as a  
7 common mechanism. The actual basis of the factors is a  
8 wide range of different endpoints, which, as I will  
9 explain in a moment, are actually a variety of toxicity  
10 and chemical endpoints and, where we have them, bio-assay  
11 endpoints. It's a case of looking at a whole spectrum of  
12 responses.

13 PANEL MEMBER BLANC: I assumed that. But, you  
14 know, taken in isolations your comments could have been  
15 read more narrowly.

16 A second clarification as to your oral comments.  
17 Describing a chemical which binds to a receptor but which  
18 can't be metabolized suggests a pattern of inhibition  
19 rather than induction. Perhaps you want to clarify.  
20 Maybe there was a missing phrase there. But otherwise  
21 it's a bit circular.

22 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

23 SALMON: Yes. Well, there's two things. Firstly, these  
24 compounds are agonists in terms of their action on the  
25 receptor. They bind to the receptor and they activate

1 various of the genetic switching, which the receptor is  
2 involved in operating. So various biochemical events are  
3 turned on, enzymes are induced, some growth control  
4 responses are mortified.

5           And so in terms of its action on the receptor,  
6 these compounds are agonists.

7           However, the normal mechanism by which AH  
8 receptor agonists are cleared from the system is that  
9 typically one of the enzymes which is reduced -- sorry --  
10 induced as in response to activation of the receptor --  
11 typically the site for B450 series -- is the active enzyme  
12 system which degrades that material. So B450 metabolism  
13 in fact removes this compound, which is the receptor  
14 agonist, from the cell. And the products, the metabolism  
15 are excreted and cleared from the body.

16           The problem with the dioxin-like compounds is  
17 that at least many of them are highly resistant to this  
18 particular type of metabolism. So you're looking at half  
19 lives of many years. We're talking about a half life of 7  
20 to 10 years being measured for the typical dioxin --  
21 chlorinated dioxins, which is orders of magnitude longer  
22 perhaps than is usual for this sort of material.

23           So that's the -- I don't --

24           PANEL MEMBER BLANC: No, that was sufficient. I  
25 think that clarifies your comments. Thank you.

1 --o0o--

2 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

3 SALMON: This is just a statement in mathematical terms of  
4 what we're doing. If you think that this looks very much  
5 like the standard sort of hazard-index-type calculation  
6 where you add up the toxicity of like-acting toxicants,  
7 then you're exactly right.

8 --o0o--

9 PANEL MEMBER GLANTZ: Could you just go back for  
10 a second.

11 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

12 SALMON: Certainly.

13 PANEL MEMBER GLANTZ: Just to -- and this is not  
14 my area of expertise. But would you -- this is sort of  
15 the guts of what you're doing. And could you just explain  
16 where you get the numbers, the Cs and the TEFs?

17 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

18 SALMON: Okay. The TEFs are a ratio between the -- in  
19 this case the estimated carcinogenic potency or other  
20 toxic activity measure, but in this case we're talking  
21 carcinogenic potency -- the ratio between the observed  
22 carcinogenic potency of TCDD and the estimated  
23 carcinogenic potency of an individual congener, which is  
24 signified by the by the "n" here. So the "n" represents  
25 the whole set of congeners in which we're interested. And

1 those TEF values are provided in a table which I will  
2 display shortly.

3 "C subscript n" is the concentration of that  
4 congener "n". And that calculation of the concentration  
5 times the factor is summed for all the congeners  
6 identified in the mixture. And then that is expressed as  
7 a total toxicity equivalence, which if we're working here  
8 with the carcinogenic potency, then this TEQ in effect  
9 would be an equivalent concentration of dioxin -- the  
10 TCDD, which we would then multiply by the TCDD  
11 carcinogenic potency and also determine the risk.

12 Or if we were using -- if we were concerned about  
13 some other toxic endpoint, we would look at that  
14 equivalent concentration of TCDD and determine whether it  
15 represented a problem for that other endpoint. But in the  
16 specific context of calculations for the TAC program,  
17 we're talking carcinogen potency. So we take the TEQ,  
18 which is, if you like, a virtual concentration of TCDD,  
19 multiply that by the carcinogenic potency, which is  
20 calculated from the TCDD bio-assay.

21 PANEL MEMBER GLANTZ: Okay. Thanks.

22 --oOo--

23 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

24 SALMON: TEFs are derived by looking at a broad range of  
25 data. The original California TEFs for a small number of

1 the dioxin congeners simply looked at the available  
2 bio-assay data and a few other things like that. But the  
3 more recent TEF approach has looked at a wide range of  
4 different endpoints. Chronic toxicity and, in particular,  
5 carcinogenicity is the gold standard where it's available.  
6 But the results of subchronic and other short-term  
7 toxicity data is used as part of the overall evaluation.

8           Also, in vitro studies and the AH receptor  
9 specific bio-chemical endpoints have been measured where  
10 possible. And so you have a hierarchy of different toxic  
11 and biochemical effects.

12           An important part in this discussion also has  
13 been the actual quantitative structure activity approach.  
14 And you may have noticed some numbers which were printed  
15 next to the various positions on the core structures that  
16 I showed in the first slide of the presentation. These  
17 actually represent, if you like, weighting factors for the  
18 appearance of a chlorine act to the particular position on  
19 the ring. And it's been possible to describe how the  
20 toxicity works in structure activity terms for this  
21 series. It's a very nice example of the use of not only  
22 qualitative, but actual quantitative structure activity  
23 relationships.

24           And this is sort of -- one of the really nice  
25 cases where these things work to a decent degree.

1 Unfortunately we don't have as many good examples in the  
2 application of this technique as we would like. But this  
3 is one of them.

4 --o0o--

5 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

6 SALMON: I mentioned that there was an initially  
7 evaluation and initial TEF scheme developed as part of the  
8 California identification for the TAC program.

9 In 1999, actually, California replaced the  
10 original table with what's called the international TEF  
11 table, which had been developed actually seen or eight  
12 years earlier by a specialist committee set up by the  
13 World Health Organization and it's component agencies,  
14 IARC and the International Program on Chemical Safety.

15 So the I-TEF scheme, which in fact had been used  
16 sort of in parallel with the California scheme for various  
17 programs for several years, was preferred because it  
18 covered a broader range of compounds in the dioxin and  
19 dibenzofuran groups and included a broader range of  
20 endpoints including the other toxicity, the biochemical  
21 endpoints, and the structure activity relationships. So  
22 it was considered to be a more broadly based scheme. And,  
23 in fact, following review by the SRP, OEHHA adopted that  
24 I-TEF scheme.

25 So what's in place for dioxin regulation at this

1 point is that the dioxin-like compounds are regulated as  
2 toxic air contaminants. And the carcinogenic potency of  
3 those dioxin-like compounds, which, are either chlorinated  
4 dioxins or chlorinated dibenzofurans, is calculated using  
5 the I-TEF table. And that was in fact included as an  
6 appendix in the Hot Spots Risk Assessment guidelines,  
7 which you reviewed. So this is an appendix to Part 2, the  
8 cancer potency factors.

9 --o0o--

10 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

11 SALMON: Since the international scheme, the so-called  
12 I-TEF, was developed, in fact -- well, the World Health  
13 Organization has had an ongoing program of revising and  
14 updating this scheme as new data became available. And  
15 this is something which has been progressing on its own  
16 timetable.

17 In fact in 1994, WHO added the TEF values for 13  
18 dioxin-like PCBs. These are basically PCBs which adopt a  
19 coplanar molecular confirmation and are found to have  
20 dioxin-like activity in the bio-assays and bio-chemical  
21 tests which are used as the basis of the TEF  
22 determination.

23 So WHO added TEF values for 13 dioxin-like PCBs  
24 in 1994. However, up until this point the Toxic Air  
25 Contaminant Program hasn't got around to adding those





1 to add the values for PCBs is a new proposal.

2 PANEL MEMBER LANDOLPH: Dr. Salmon, what  
3 biological property are they using to measure these  
4 toxicity factors? Is it just binding to the receptor --

5 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
6 SALMON: You see, it's a whole range of things. It's a  
7 variety of biochemical measures, including things where  
8 binding to receptors is measured. And also specific  
9 biological responses which are identified as resulting  
10 from not only binding but also agonist activity at the  
11 receptor. And then it includes a variety of short-term  
12 and long-term toxicity endpoints as well.

13 PANEL MEMBER LANDOLPH: It's a fairly complex  
14 calculation --

15 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
16 SALMON: Yes, it's a complex calculation. It also  
17 involves an element of judgment.

18 And the other thing which I should probably take  
19 the opportunity pointing out is that these TEFs are not  
20 considered -- I mean this is risk assessment, not quantum  
21 mechanics. So these TEFs are not sited with enormous  
22 precision. Basically the numbers are quoted as either  
23 whole -- you know, whole decimals or .5's. So, you know,  
24 the values are either 1 or 5 times 10 to the X. And that  
25 is considered to be an appropriate level of precision at

1 which the TEFs should be quoted.

2 PANEL MEMBER LANDOLPH: And could you tell us in  
3 the case of your table there -- the 1,2,3,4,6,7,8-HpCDD is  
4 going from .03 to .1 to .01. Is there a more precision,  
5 innovative, more modern measurements that they're making  
6 that are making these changes?

7 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

8 SALMON: Yes. The more recent versions of the TEF table  
9 include a much broader range of difference endpoints and  
10 new data which has appeared. So I think it's fair to say  
11 that the new values are better in aggregate. I wouldn't  
12 necessarily want you to hold me to task on the exact  
13 precision for an individual value. But in general that  
14 would be true.

15 PANEL MEMBER BLANC: Okay. But I'd like to  
16 follow just up on something that you specifically raised,  
17 which is HPCDD.

18 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

19 SALMON: Yeah, the hexachloro, yes.

20 PANEL MEMBER BLANC: And since in the Appendix A  
21 that you provide it's clear that that single change will  
22 have the greatest impact in your calculations, reducing  
23 equivalency calculations in actual field combinations by  
24 about 10 percent --

25 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

1 SALMON: Yes.

2 PANEL MEMBER BLANC: -- so as a technical  
3 question, I think it would be important to know to what  
4 extent OEHHA focused their evaluation of the WHO revision  
5 specifically on that congener since that will have the  
6 greatest single public policy impact potentially from all  
7 of these things. Did you do something special about  
8 looking at what they had used and have a basis for their  
9 10-fold reduction equivalency? Because it has -- there's  
10 two things: One is, yes, it is a 10-fold reduction in  
11 equivalency. But also it's second -- well, the third  
12 most concentrated-by-weight congener in the field samples  
13 that you've supplied in your very useful appendix.

14 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
15 SALMON: Yeah. Well, the -- I mean the WHO -- the full  
16 WHO document actually goes through sort of line by line  
17 the changes which they made. And, you know, we looked at  
18 that. I don't think that we have -- I don't -- well, we  
19 haven't had the resources to do what I'd call a fully  
20 independent evaluation of all the data. But --

21 PANEL MEMBER BLANC: Well, it involved -- nor  
22 would I expect you to. And I think it is appropriate. It  
23 would be an inappropriate utilization of resources to  
24 recapitulate the entire WHO document. On the other hand,  
25 if there is going to be a targeted piece of the WHO

1 document that's going to have a big impact in your  
2 calculations, it would be reasonable for that one item to  
3 make sure that you're satisfied scientifically that the  
4 argument that they're using meets your scientific  
5 requirement.

6 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

7 SALMON: Yes. We could -- if you're interested in that  
8 section, you know, we could dig it out and provide that  
9 for the Panel if you wanted that. I mean it's --

10 PANEL MEMBER BLANC: I didn't want you to dig it  
11 up for yourself. I want you to --

12 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

13 SALMON: Yeah -- no, we looked at it. We were satisfied.

14 PANEL MEMBER BLANC: And you focused  
15 additional -- you focused additional attention on that  
16 specific chemical is what you're saying?

17 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

18 SALMON: To some degree, yes. I think -- I mean the other  
19 interesting point about this is that it -- they're  
20 actually going closer to the number that we had for the  
21 hexachloro in the original California tables. So I don't  
22 know how significant that is. That's a debatable point.

23 CHAIRPERSON FROINES: Can you help me? I think I  
24 know where Paul's talking --

25 PANEL MEMBER BLANC: Page 37.

1 CHAIRPERSON FROINES: Yeah, I'm looking at that.

2 But which one are you talking about? Is this the HpCDD --

3 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

4 SALMON: This is the hexachloro -- yes.

5 PANEL MEMBER HAMMOND: Two double spaces. The

6 blank line right below it.

7 PANEL MEMBER BLANC: Yeah, it's

8 1,2,3,4,6,7,8-HpCDD.

9 CHAIRPERSON FROINES: Yeah, okay.

10 PANEL MEMBER BLANC: Which under the new

11 guidelines we'd have a 10-fold less -- 1/10 potency, which

12 is okay -- which would not have a lot of meaning if it was

13 a very small component of the mix that you typically would

14 measure. But based on the Marion County incinerator data,

15 for example, of the 128.6 picograms equivalent, on the old

16 calculation that was more than 10 percent. And in the new

17 calculation it would be less than 1 percent of the

18 contribution.

19 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

20 SALMON: Yes. It of course depends on the nature of the

21 mixture. But in that particular case it is a very

22 significant --

23 PANEL MEMBER BLANC: But the patterns seem to be

24 similar in San Bernardino and West Long Beach. In other

25 words, that seems to be a fairly common by-weight

1 contaminant in the mix.

2 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

3 SALMON: Yes. And also, the other thing is, that the more  
4 highly chlorinated ones tend to be more resistant to  
5 environmental degradation. So aged samples often have  
6 particularly high abundances of the optor.

7 One of the other -- I think one of odd features  
8 of the previous I-TEF is that in fact the value which they  
9 site for the heptachlorodioxin was .1, whereas the value  
10 which they cite for the heptachlorodibenzofuran was .01.  
11 So what the new vision does actually is too align the  
12 values for heptochlorodioxin and heptachlorodibenzofuran.

13 PANEL MEMBER BLANC: Yeah.

14 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

15 SALMON: So I mean this is based on their evaluation of  
16 the specific data that were available to them for these  
17 compounds.

18 CHAIRPERSON FROINES: Kathy.

19 PANEL MEMBER HAMMOND: I have a couple of  
20 questions. First just to help me follow this.

21 The three columns. The first column is what was  
22 initially done?

23 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

24 SALMON: Yes.

25 PANEL MEMBER HAMMOND: And the second column is

1 currently -- that's a current --

2 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

3 SALMON: That is what is currently used.

4 PANEL MEMBER HAMMOND: And then this third column  
5 is the proposal --

6 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

7 SALMON: -- is the proposal --

8 PANEL MEMBER HAMMOND: -- which is the WHO's?

9 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

10 SALMON: That's right, yes.

11 PANEL MEMBER HAMMOND: Because there are several  
12 items -- which Paul has pointed out some of the more  
13 important ones -- which have changed by an order of  
14 magnitude -- and I certainly agree it makes sense to only  
15 use at this point -- use 5 and 1s.

16 Is there some general -- is there some general  
17 reason that you could give why there's been this 10-fold  
18 decrease in the potency? I mean is it a new test or new  
19 finding? There must be something that's generally  
20 happened? Is there a particular --

21 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

22 SALMON: I think it's a general increase in the overall  
23 quantity and quality of data. I don't think --

24 PANEL MEMBER HAMMOND: But is there a particular  
25 type of data that has come through? Like is it -- is it

1 being driven by the fact that there are more of one type  
2 of test or something as -- before it was a certain kind of  
3 test that was being used, like maybe the quantitative  
4 structure activity, and now it's being done by in vivo  
5 test or --

6 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

7 SALMON: Yeah, that is in fact true. Basically the  
8 quantity and quality of in vivo data --

9 PANEL MEMBER HAMMOND: So since in vivo has  
10 now --

11 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

12 SALMON: -- has increased over the years. So the newer  
13 table includes more and better quality in vivo data.

14 PANEL MEMBER HAMMOND: So of those types of data  
15 that could go into informing these toxic equivalency  
16 factors, were moving up --

17 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

18 SALMON: Yes.

19 PANEL MEMBER HAMMOND: -- And getting better  
20 data?

21 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

22 SALMON: Yes.

23 CHAIRPERSON FROINES: Well, that goes to the same  
24 question -- I mean Paul was raising that question. Kathy  
25 followed up. And I want to make it even more precise in a

1 sense, because -- I have rather strong feelings about the  
2 relevance and significance of the aryl hydrocarbon  
3 hydroxylase pathway and how -- whether one should use an  
4 inducible enzyme process in a decision-making framework.

5 I'm not very comfortable with that, because I  
6 think there are other pathways that are potentially  
7 important, and probably in some cases maybe more  
8 important, and a lot has been made out of an interesting  
9 finding that you have this cytosolic event occurring that  
10 ends up in the membrane, and so on and so forth, that we  
11 all know about.

12 So if one was making decisions based on that, I  
13 would start having problems. If you're saying that the  
14 actual in vivo data is improving, then I'm more  
15 comfortable. So that's why I think -- I think what  
16 everybody's asking is, how do we have confidence that  
17 something that changes by a factor of 10 is based on data  
18 that we would all feel comfortable if we actually got into  
19 the details of it?

20 Kathy.

21 PANEL MEMBER HAMMOND: And maybe -- and something  
22 like this, which strikes me as fairly important and with a  
23 lot of implications, maybe there needs to be another  
24 column in the table which basically identifies what was  
25 the scientific basis upon which the change was made.

1 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

2 SALMON: Yeah. Well, as I say, we do have the sort of  
3 line-by-line decision table from WHO which, you know, we  
4 can provide.

5 CHAIRPERSON FROINES: Yeah, but just to make  
6 sure. See, I think what everybody here is saying is we  
7 can look at the membership of that committee and in some  
8 cases feel good about it and in some cases we might not  
9 feel so good about it, because we know the perspective of  
10 some of the participants. So that that committee may or  
11 may not be one that I would necessarily have confidence  
12 in.

13 But I would have confidence in the OEHHA review.  
14 And so that's what I -- I think I want to make sure has  
15 happened so that we're confident that it's not just --  
16 this isn't just a bookkeeping operation we're going  
17 through, but that it's an effort where there has been an  
18 evaluation.

19 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

20 SALMON: We have been through the basis, upon a  
21 line-by-line basis and looked at it. And, as I say,  
22 you're comment about the enzyme induction not being a  
23 particular good basis is exactly in line with the way WHO  
24 described their hierarchy of evidence in that they state  
25 quite clearly that that's the lowest category of evidence

1 which they examined and that, you know, basically they  
2 laid out enzyme induction as not being as good as, you  
3 know, receptor response measures. And they laid out  
4 biochemical -- you know, further biochemical measures of  
5 toxic effect as being better than just looking at the  
6 receptor. And they laid out, you know, in vivo measures  
7 of toxicity being better than biochemical or in vitro  
8 measures and, you know, long-term --

9 CHAIRPERSON FROINES: I would argue, Andy, that  
10 the diolepoxide, which is in every textbook in America on  
11 Benzo[a]pyrene carcinogenicity, does not adequately  
12 reflect the actual cancers that result from  
13 Benzo[a]pyrene. And so if you have questions about  
14 Benzo[a]pyrene, we're sure as hell going to have questions  
15 about this site cytosolic receptor.

16 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
17 SALMON: Yeah, I think it's clearly acknowledged that the  
18 AH receptor story, although it's what you might call a  
19 unifying hypothesis, doesn't represent the totality of  
20 effects. And in particular, there are a number of other  
21 systems, including some of these steroid receptors, both  
22 the ones having a role in reproductive endocrinology and  
23 the ones having effect on anabolic metabolism, are clearly  
24 impacted also by dioxins. And it's obvious -- I mean some  
25 of that is, you know, cross-talk between systems and some

1 of it's probably independent effects. But, yeah, there's  
2 more to it than that, John.

3 CHAIRPERSON FROINES: Joe had a comment and then  
4 Paul.

5 PANEL MEMBER LANDOLPH: That's what provoked my  
6 initial question was seeing the numbers change.

7 I think this document's very well written and I  
8 wanted to congratulate you. I actually recommend you  
9 maybe condense it a little and make a review article out  
10 of it and publish it somewhere.

11 I would recommend that, if you could, at the back  
12 perhaps clip one of the calculations for one of the TEFs  
13 or maybe somebody's paper where they did that just so we  
14 can see what went into it. So we have a better feel for  
15 how numbers were arrived at.

16 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
17 SALMON: We could -- well, we'd perhaps make sense to add  
18 specifically the calculation that was done for the  
19 hexachlorodibenzodioxin.

20 PANEL MEMBER HAMMOND: Well, for any that change.

21 PANEL MEMBER LANDOLPH: Yeah, any of the changes  
22 by order of magnitude, that would be useful.

23 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

24 SALMON: Yes.

25 CHAIRPERSON FROINES: Paul.

1           PANEL MEMBER BLANC:  What I'd like to suggest  
2 specifically is that there be a section added to the  
3 document which specifically addresses the three PCDDs that  
4 change because of this, and focus most of its attention in  
5 that section on the HPCDD.  And in several sentences  
6 summarize in the text what drove the WHO change, and  
7 acknowledge this explicitly that this change will impact  
8 proportionally equivalency because -- not just because of  
9 the numerical change, but because in the field's condition  
10 this is a time of -- I think that from a public health  
11 policy you need to acknowledge that explicitly, and I  
12 think the way to do that is to add a section.  I'm not  
13 talking about 10 pages of text.  I'm talking about an  
14 appropriate several paragraphs.

15           The other thing that would be helpful that is --  
16 is it safe to assume that the data on Appendix A for these  
17 three samples -- airborne samples that were analyzed for  
18 dioxin congeners were not analyzed for PCBs at the time?

19           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
20 SALMON:  They were not, to the best of my knowledge.  I  
21 mean the data -- those data were extracted from, you know,  
22 other available reports.  I didn't have the opportunity to  
23 quiz the original authors.

24           PANEL MEMBER BLANC:  So the only example you have  
25 that includes all of them is the striped bass?

1 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

2 SALMON: Yes.

3 PANEL MEMBER BLANC: Which is a --

4 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

5 SALMON: -- which is a fish one, which is perhaps not --

6 you know, it may be regarded as an infelicitous choice of

7 example, but it was the one which we sort of --

8 PANEL MEMBER BLANC: Well, clearly, you know, the

9 addition of some equivalency for PCBs is better than none.

10 And you show in the striped bass example that in fact that

11 increases your equivalency by several hundred percent.

12 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

13 SALMON: Of course what isn't reflected in that specific

14 calculation -- and we couldn't reflect it because the

15 measurement wasn't available to us -- is what would have

16 been the potency of those PCBs, you know, as a mixture

17 using the standard previous PCB calculation.

18 PANEL MEMBER HAMMOND: Because there was no such

19 thing.

20 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

21 SALMON: Well, we didn't -- I mean there could have been a

22 measure of, you know, total PCBs --

23 PANEL MEMBER BLANC: But it wasn't --

24 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

25 SALMON: Well, if it was done, it wasn't available to us.

1 PANEL MEMBER BLANC: Right.

2 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

3 SALMON: So unfortunately --

4 PANEL MEMBER BLANC: And you don't have any

5 airborne example whatsoever that you can cite that has all

6 the numbers?

7 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

8 SALMON: I don't at this point, no; which is, you know --

9 I mean one of the problems of course is that you don't get  
10 that until regulations say it's needed.

11 PANEL MEMBER BLANC: No, no. The reason why I  
12 say this is because it's an important argument in favor of  
13 this revision since the net impact is likely to be towards  
14 public health protection. Because to the extent that you  
15 weren't including the PCBs at all, and now you are going  
16 to rate them, even if their -- although their rating  
17 factors are generally low, if a striped bass example is  
18 also true in the air, it may be disproportionate -- you  
19 know, they may be disproportionately present to weight. I  
20 have no idea.

21 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

22 SALMON: Yes. I mean I -- I'm sorry.

23 CHAIRPERSON FROINES: Well, it would seem that  
24 they are -- that in some respects they are, from this  
25 table.

1           PANEL MEMBER BLANC: Only according to striped  
2 bass table. But I don't know about air what --

3           PANEL MEMBER HAMMOND: Joe has a comment.

4           PANEL MEMBER LANDOLPH: I would -- just a  
5 sentence or two I would recommend on that last OCDD  
6 congener under the PCDDs and the PCDF one, because they  
7 also change by order of magnitude.

8           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

9 SALMON: Yes, yes.

10          PANEL MEMBER LANDOLPH: Just mention -- and in  
11 your opinion -- what effect that would contribute to the  
12 overall miscalculation since it -- it catches your eye,  
13 right, the --

14          AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

15 SALMON: Yes. I mean that one is going to also have an  
16 effect because it's abundant congener. On the other hand,  
17 its actual contribution in any event is small because the  
18 overall potency is much lower for that one. And that  
19 is -- that's the reason --

20          CHAIRPERSON FROINES: That's the opposite of

21 Paul's point?

22          AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

23 SALMON: Yes, exactly. That is the reason why, as Dr.  
24 Blanc has pointed out, the hector is the one that has the  
25 largest impact. Although in our calculations the impact

1 isn't huge. It's like 10 percent.

2 PANEL MEMBER BLANC: You feel this is -- I'm  
3 sorry. You were up.

4 PANEL MEMBER HAMMOND: Did you include the PeCDD,  
5 the second line, in your comment? Because that one  
6 actually has increased. And I notice that was offset.  
7 You know, Paul pointed out the decrease from the other  
8 one. But that increase is offsetting. And that is a  
9 common material. So I think in that -- looking at all  
10 these -- I mean we can't just look -- include all of  
11 these. Because certainly anything where the potency  
12 factor is very low, changing it isn't -- to another low  
13 number isn't so important. But when it's high and it's  
14 prevalent, which is what's happening for -- those are the  
15 ones that we're going to have to be particularly careful  
16 about.

17 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
18 SALMON: Yes.

19 PANEL MEMBER HAMMOND: The other comment I wanted  
20 to make though was -- I concur with what Paul was saying  
21 about looking at, you know, what are the effects when we  
22 put this all together in a public health perspective. But  
23 I would also ask you look at food. I mean that's striped  
24 bass. And air isn't maybe necessarily the major source.  
25 We think dioxin is the major -- food is -- ingestion is

1 the major source. And probably PCBs that's true as well.

2 So I think that it is important to look at some  
3 of the other food sources. And I'm not sure how much data  
4 is available.

5 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

6 SALMON: Yeah, I commented before, that food -- you're  
7 right, food is the major direct source of intake.

8 Although of course most of the dioxin, which is in the  
9 general food supply, actually got there via the air. And  
10 most of the general food supply was not raised on farms  
11 which have, you know, little PCB dumps in the --

12 PANEL MEMBER HAMMOND: Fertilizable PCB --

13 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

14 SALMON: There are such places. But they're the --  
15 fortunately the --

16 CHAIRPERSON FROINES: That's a very important  
17 point; namely, that food -- that the air pathway is  
18 responsible for the food. So it's not a separate issue.

19 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

20 SALMON: Yes. And so, you know, I think that this is  
21 potentially -- I think it's potentially important, you  
22 know, to have a good handle on these compounds.

23 But, anyway, we certainly -- you know, we ought  
24 to make specific comments on --

25 PANEL MEMBER HAMMOND: Is it appropriate -- I'm

1 still learning my role here, Mr. Chairman.

2 PANEL MEMBER GLANTZ: You're doing fine.

3 PANEL MEMBER HAMMOND: But is -- would it be  
4 appropriate to ask -- this data's all 15-years old for  
5 airborne.

6 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
7 SALMON: Yes.

8 PANEL MEMBER HAMMOND: If there isn't new data,  
9 can we ask that new data be collected to determine how  
10 much -- where these things are now and if that's a  
11 problem? Or is that totally outside of our --

12 CHAIRPERSON FROINES: No, we can -- in the  
13 past -- we can send a letter to an appropriate agency like  
14 EPA and request an update on the literature. That's  
15 entire within the realm of this -- in fact this Committee  
16 has had an impact at various times precisely because we've  
17 sent letters asking for things to occur. And, as Joe  
18 knows, on the CIC letters have been sent that end up with  
19 bio-assays being done by MTP. So that, yeah.

20 Now I don't think that the State of California is  
21 the body that's going to -- would be doing that research.  
22 So it would have to identify who is the appropriate --

23 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
24 SALMON: My understanding is that the federal EPA has  
25 recently been doing quite a bit of work specifically on

1 the question of, you know, the dioxin-like compounds in  
2 meat and dairy products and the extent to which that is  
3 evidently the major source.

4 So it's possible that we could, particularly if  
5 we lent on your authority, we could get some more data.

6 CHAIRPERSON FROINES: We can draft a letter and  
7 send it from the Panel and --

8 PANEL MEMBER HAMMOND: I'm not sure whether  
9 that's pushing --

10 CHAIRPERSON FROINES: That's perfectly fine. You  
11 can make any recommendation you want.

12 PANEL MEMBER HAMMOND: Well, I mean I didn't know  
13 that there were allocations. But it seems to me that this  
14 isn't --

15 CHAIRPERSON FROINES: Increase our salaries.

16 PANEL MEMBER GLANTZ: They charge us to attend  
17 now, don't they?

18 CHAIRPERSON FROINES: That's right.

19 (Laughter.)

20 PANEL MEMBER HAMMOND: But I think that to the  
21 degree that all this work is important -- I think it is.  
22 I think understanding its relevance to today's exposures  
23 is also important.

24 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
25 SALMON: Yes. I think it would be very helpful.

1           PANEL MEMBER BLANC: John, can I ask for  
2 clarifications from the Chair?

3           The proposal today, this was coming forward  
4 for -- this is a revised statement in response to comments  
5 for approval at today's panel.

6           CHAIRPERSON FROINES: Right.

7           PANEL MEMBER BLANC: And the clarifications that  
8 we're asking for I don't think manifest a wish to delay  
9 enactment of this new potency equivalence. And so I don't  
10 want to misinterpret my comments. So technically how  
11 would you like to proceed?

12          CHAIRPERSON FROINES: This is very useful because  
13 it's educational for Kathy and Joe.

14          What we have done in the past of course is we  
15 have approved documents pending revisions where we  
16 considered those revisions did not -- I can't remember the  
17 legislative language, but substantively all, you know --  
18 in other words we're not saying that the document is not  
19 adequate. We're saying the document's adequate with some  
20 relatively minor changes. And so we can approve the  
21 document with the understanding that those changes would  
22 be made -- if when we see the changes, if they were major  
23 problems, we could bring it back. But by and large we  
24 would just move forward.

25          That's our reason -- that's our history.

1 PANEL MEMBER BLANC: So, Andy, I think we've sort  
2 of preempted some of the upcoming slides. But if you'd  
3 just run through them very quickly.

4 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

5 SALMON: So I'll try and get through this as --

6 CHAIRPERSON FROINES: Please don't spend -- given  
7 the time constraints, the more you can flip through slides  
8 that we've already talked about the issues.

9 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

10 SALMON: Yes, I'll go through these -- these were just the  
11 non -- this is just the non-brain-damage version of what  
12 we've already been looking at. So I can shoot through  
13 this one.

14 --o0o--

15 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

16 SALMON: These are the actual numbers in the comparison,  
17 which we've been talking about. So I think we've probably  
18 captured most of the value in this one as well.

19 --o0o--

20 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

21 SALMON: So --

22 CHAIRPERSON FROINES: Let's make sure everybody  
23 understands that one, because that one is important.

24 PANEL MEMBER BLANC: And I think that -- again,  
25 that that appendix table could be clarified in the same

1 way that the two paragraphs of text could be a footnote  
2 which says the changes are driven by the following two  
3 chemicals, one of which went up by .4 and one which went  
4 down by .8.

5           And I also think that the numbers, although they  
6 look close, are somewhat deceptive because the percent  
7 changes are trivial. We're talking about small numbers.  
8 So I think that for the footnote to say this represents an  
9 X percent change. I don't know if this table's actually  
10 in the document, because mainly it's a slide.

11           PANEL MEMBER HAMMOND: That's an extract from  
12 this. It's an extract from the one you were referring to  
13 before.

14           PANEL MEMBER BLANC: Is it?

15           No, it's not the striped bass one. It actually  
16 isn't in here.

17           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

18 SALMON: The numbers on which this table is based are in  
19 the appendix to the document.

20           PANEL MEMBER BLANC: In the table, see. They're  
21 in those two appendix tables, but they're not -- there's  
22 not a separate table that looks like this, is there?

23           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

24 SALMON: No, no.

25           PANEL MEMBER BLANC: Anyway, but I think

1 clarifying somewhere what the percentage changes would be  
2 helpful.

3 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

4 SALMON: Certainly. And if we have the opportunity to  
5 expand this with more recent and more relevant data, then  
6 we would do well to do so.

7 PANEL MEMBER BLANC: Yes.

8 CHAIRPERSON FROINES: I won't hold it up on that  
9 basis. Because if we send a letter to EPA --

10 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

11 SALMON: -- it will take forever.

12 CHAIRPERSON FROINES: -- it will -- you know,  
13 we'll all be gray haired, not just a few of us.

14 (Laughter.)

15 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

16 SALMON: Okay.

17 --o0o--

18 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

19 SALMON: So, anyway, the effects of the current proposal  
20 if adopted would be to continue to use the methodology  
21 which was originally adopted in 1986, but to replace the  
22 currently used version of the table, which is currently  
23 the intermediate one, if you like, the I-TEF table; with  
24 the latest version as published by WHO, which updates some  
25 of the TEFs for the chlorinated dioxins and dibenzofurans.

1 And adds to this program the use of TEFs for the coplanar  
2 PCBs. And I would -- you know, I need to clarify that if  
3 we were to do that, where we had the data for individual  
4 PCB congeners available, we would use that to derive a  
5 cancer estimate rather than using the bulk measure and  
6 mixture slope factor approach for cancer risk for the  
7 PCBs.

8           That is not to say that we would encourage people  
9 to ignore the non-cancer effects of PCBs, some of which  
10 are not dioxin-like effects. There are of course things  
11 like the developmental neurotoxic defects, which are  
12 typically the effects of the non-coplanar PCBs. So PCB  
13 estimation in a situation where the contamination is so  
14 gross that those non-cancer effects are important, the PCB  
15 estimation would need still to look at alternative  
16 methodologies.

17           But specifically for estimating cancer risk, it's  
18 our belief that the cancer risk associated with PCB  
19 exposures is a dioxin-like effect, and that this is the  
20 most complete method available to us for estimating that  
21 cancer risk. And that's typically what drives the  
22 regulation.

23           PANEL MEMBER HAMMOND: And I'm just a bit  
24 confused because I think I'm hearing two things. And  
25 maybe I'm just not.

1           If you have a coplanar PCB and there's a  
2 cancer -- there's already a cancer risk estimate made for  
3 a particular one, are you saying that this new TEF would  
4 replace it or not?

5           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

6 SALMON: No.

7           PANEL MEMBER HAMMOND: Because this says it in  
8 places. But I --

9           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

10 SALMON: No, the existing PCB methodology is not a  
11 congener-by-congener method. The existing PCB methodology  
12 at the moment uses a bulk measure of some -- of total PCBs  
13 and then attempts basically to choose a -- you know, a  
14 mixture value, which is by some process --

15           PANEL MEMBER HAMMOND: So you're saying you would  
16 totally disregard that method?

17           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

18 SALMON: For cancer risk we would replace that with the  
19 TEF methodology based on the individual congeners, of  
20 course where we had those data. If we didn't have those  
21 data, then we're not suggesting you ignore the cancer  
22 risk. You would have to fall back to the hold PCB  
23 methodology if you didn't have the data.

24           PANEL MEMBER HAMMOND: And have you done any  
25 comparison of some settings, as you did here, where you

1 used the old method and the new method?

2 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

3 SALMON: We haven't gone through a tremendous number of  
4 examples, but the -- both we and some of the public  
5 comment people have played with that. But in our hands,  
6 the cancer risk -- it depends a lot on what the PCB  
7 congener mix is. In general, the TEF methodology produces  
8 a result which is slightly more public health protective  
9 than the bulk method, but it's not dramatically more so.  
10 Some of the examples which I will mention just briefly  
11 came in to the -- in the public comments claimed that  
12 there would be a huge increase. But that's actually based  
13 on an error or misapprehension. And --

14 CHAIRPERSON FROINES: I think, Andy, for the sake  
15 of time, if you could move on to the summary of public  
16 comments, that would be useful, because there are a number  
17 of important comments.

18 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

19 SALMON: Well, I will do that.

20 --o0o--

21 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

22 SALMON: But what I -- the first comment or -- basically  
23 we had a series of comments which were somewhat  
24 overlapping, to the extent where several different  
25 commenters submitted either parts or the whole of the same

1 report by one particular commenter. So I guess he was  
2 being well paid for that particular report.

3 But, anyway, to summarize the scope of the  
4 comments, a lot of the comments are basically criticisms  
5 of the TEF methodology.

6 And whereas we would have accepted that, you  
7 know, comments can be made on the individual choices by  
8 the WHO Committee in this revision, our position is that  
9 these comments, although, you know, interesting scientific  
10 debate, et cetera, are basically off topic, because we  
11 already are mandated to use the TEF methodology and we're  
12 not proposing to change that. But we had a lot of people  
13 saying that they didn't like the TEF methodology in the  
14 first place for one reason or another or, in particular,  
15 it was imperfect or flawed in some way.

16 Well, several people quoting one particular  
17 consultant pointed out that the actual measurement of  
18 dioxin-like congeners both for the dioxins and the PCBs is  
19 a relatively difficult and expensive business, and that  
20 some -- the only method which really produces a  
21 definitive result at the moment is high resolution GC  
22 Masspec, which is an expensive method. But some of the  
23 other methods which I think were discussed in some of the  
24 submissions were clearly not going to be suitable; and we  
25 agree, they're not suitable.

1           I think -- you know, it's not our place to go  
2 into great detail about measurement methodology and how  
3 the regulators, who of course will be the State Board or  
4 the air districts in this case, would chose to implement  
5 their strategy. And of course, you know, that is the  
6 point at which the questions of cost and feasibility of  
7 measurements and so on would come up.

8           But I think our point at this stage of the  
9 process is that these methods -- you know, the high  
10 resolution Masspec method does exist. It is used. And,  
11 granted, it's a relatively expensive method that can't be  
12 used indiscriminately. But since much of the critical  
13 problem with this -- with the particular issue we're  
14 addressing here is more a matter of source  
15 characterization than needing absolutely, you know,  
16 congener-by-congener measurements -- I mean the balance of  
17 congeners is not going to change on an hour-by-hour basis  
18 from a given source in most cases, we don't believe. So  
19 we -- it's our sense that, you know, people do use these  
20 methods and what we're proposing is not technically  
21 impossible or unreasonable. It's just that people have  
22 chosen not to do it thus far in many cases simply because  
23 they haven't been required to.

24           The next one -- a lot of people were anxious to  
25 criticize our adoption of the TEF for the PCBs and we're

1 commenting that perhaps the PCB TEFs were in some sense  
2 not as reliable as the TEFs for the dioxins or the  
3 methodology was in some way less satisfactory for PCBs.  
4 We on the other hand feel that the scientific data support  
5 the concept that the cancer risk is a dioxin-like effect  
6 of the coplanar PCBs; and that although, along with the  
7 WHO Committee, we recognize that there are limitations to  
8 the methodology and there are some questions which come up  
9 with some of the PCB isomers particularly at high dose  
10 levels where you're getting things like enzyme induction  
11 and induction in metabolism of some of the -- some of the  
12 congeners which are more rapidly metabolized, particularly  
13 at high doses -- so there are, you know, some, what I'd  
14 call, issues around the margins for the PCBs --  
15 nevertheless we feel that this methodology is appropriate  
16 for the PCBs.

17           And, in fact, frankly, we're a little remiss in  
18 not having recommended the PCB numbers be adopted at an  
19 earlier stage of the process, because this approach for  
20 PCBs has been around and recommended for use in scientific  
21 risk assessments since '94, since the first update of the  
22 original I-TEF table.

23           The next -- some of the critics actually were  
24 upset about our PCB proposal because they misapplied the  
25 proposal. They used an extreme value of the TCDD potency

1 which they had extracted from a recent EPA draft document,  
2 which is not what we're proposing. The proposal as we had  
3 it before you would use the existing California slope  
4 factor for TCDD.

5           They also used a method where they actually  
6 calculated the risk both by the TEQ method and by the  
7 mixture value for whole PCBs and added the two risks  
8 together, which seems not to be -- certainly it's not what  
9 we were proposing, and it doesn't strike us as sensible.

10           So I'm not quite sure why they did that, other  
11 than perhaps to cover the possibility of something really  
12 extreme that they couldn't live with.

13           And they also reviewed several examples which  
14 were not particularly relevant to issues for the air  
15 program. And we are aware that if the air programs adopt  
16 this revised TEF table, there will be some pressure  
17 perhaps on other programs to adopt a revised table also.  
18 But the point is, as far as this particular action is  
19 concerned, this is a proposal for the Toxic Air  
20 Contaminant Program and specifically the hot spots  
21 guidelines for cancer risk assessment.

22           PANEL MEMBER BLANC: So in terms of the last  
23 three slides, I think you can skip those, which are the  
24 detailed responses. We have them documented.

25           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

1 SALMON: Yes, I --

2 PANEL MEMBER BLANC: I think we're satisfied that  
3 you have -- that OEHHA has responded appropriately to the  
4 comments that you're receiving. Therefore, I'd like, Mr.  
5 Chairman, to move that we accept the proposal for the  
6 adoption of equivalency factors, with the caveat that  
7 there be minor revisions to the document reflecting the  
8 discussion that we have had.

9 CHAIRPERSON FROINES: I was about to say the same  
10 thing, Andy, about your last three slides. So that at  
11 least I'm in agreement with Paul.

12 I do think that before we go to Paul's motion,  
13 that I want to give the opportunity to anybody on the  
14 Panel to raise questions and then move to the -- I want to  
15 be sure we have it on the record that we gave people a  
16 chance to make comments before we made a motion.

17 PANEL MEMBER LANDOLPH: You show instant. I'm  
18 not familiar with that. You might want to just describe  
19 that in just one or two sentences very concisely.

20 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

21 SALMON: I'm sorry. It --

22 PANEL MEMBER LANDOLPH: You show incident.

23 You mentioned that --

24 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

25 SALMON: That's the -- I think that's the PCB rice oil

1 possibly.

2 PANEL MEMBER LANDOLPH: Page 22.

3 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

4 SALMON: Yes. That's basically a food contamination  
5 event.

6 CHAIRPERSON FROINES: Okay.

7 PANEL MEMBER LANDOLPH: Just one more question.

8 On page 23, you have an interesting statement  
9 here. Different agonists for the AHR exhibit different  
10 dose response curve shapes. I don't know whether you want  
11 to elaborate on that concisely. If it's something you  
12 don't think drastically affects the overall document --

13 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

14 SALMON: No, I think what we're saying is, that there  
15 are -- you know, there's a lot of interesting science  
16 going on down, you know, below the level of what we're  
17 concerned with the for TEF table. And that's one of  
18 reasons why WHO is careful not to exaggerate the precision  
19 with which they quote the TEF values.

20 PANEL MEMBER LANDOLPH: And you don't visualize  
21 these as being really significant in terms of affecting  
22 the end --

23 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

24 SALMON: Not for the purpose at hand, no.

25 CHAIRPERSON FROINES: So hearing no further

1 comments, Paul, make your motion again please.

2 PANEL MEMBER BLANC: I would move that we accept  
3 the proposed adoption of revised toxicity equivalency  
4 factors as presented, with the caveat that there be minor  
5 modifications to the text consistent with the discussion  
6 that we've had here today.

7 CHAIRPERSON FROINES: Is there a second.

8 PANEL MEMBER FRIEDMAN: Second.

9 CHAIRPERSON FROINES: Is there a discussion?

10 PANEL MEMBER LANDOLPH: I would just thank them  
11 for the very nice document they put together under Dr.  
12 Salmon's leadership and all the -- that went into this  
13 document. It's very well written.

14 PANEL MEMBER GLANTZ: No, this is a little one.

15 (Laughter.)

16 CHAIRPERSON FROINES: It's his first day. Let  
17 him think this is the biggest document he'll ever see.

18 PANEL MEMBER LANDOLPH: No, I didn't say that. I  
19 just said I like what I see. I've read bigger ones.

20 CHAIRPERSON FROINES: So all those in favor of  
21 the motion?

22 (Ayes.)

23 CHAIRPERSON FROINES: The motion carries  
24 unanimously.

25 Let's move on. Given the time constraint, I want

1 to move on to the ETS document.

2 And he promised me he was going to raise his  
3 hand. But I think we'll take a five-minute break.

4 But let's make it a short break.

5 (Thereupon a recess was taken.)

6 CHAIRPERSON FROINES: I want to say for the  
7 record that Dr. Hammond has in a prior period of time  
8 provided consulting to OEHHA on the ETS document. We  
9 think that that does not create a conflict of interest.

10 PANEL MEMBER HAMMOND: Not on the ETS -- not on  
11 the new ETS document, if there is one. But to ARB a  
12 sampling that would inform them.

13 CHAIRPERSON FROINES: Oh, to the sampling that  
14 provides data for the new document.

15 PANEL MEMBER HAMMOND: Presumably. And I haven't  
16 seen that.

17 CHAIRPERSON FROINES: We don't think that  
18 constitutes a conflict of interest. We will not ask her  
19 to be a lead on ETS, although that would make a lot of  
20 sense; we'll ask her to be a participant in the  
21 discussion, so that there's no question of the appearance  
22 of an issue.

23 So we'll go that way, Kathy.

24 CHAIRPERSON FROINES: But we think her expertise  
25 is invaluable as we move forward in this process.

1           Given this problem of time, I hope we can keep  
2 the slides to -- we don't need to worry too much about  
3 background. The panel's relatively familiar with the ETS  
4 background. And so sort of an update is what we really  
5 need to focus on.

6           MR. KRIEGER: Okay. Thank you.

7           (Thereupon an overhead presentation was  
8 Presented as follows.)

9           MR. KRIEGER: Good morning, Dr. Froines and  
10 members of the panel.

11           Today, as Dr. Froines mentioned, we are updating  
12 you on the progress to develop a report on environmental  
13 tobacco smoke that will serve as the basis for the  
14 identification as a toxic air contaminant.

15           In our presentation today we will provide  
16 background information on the Air Resources Board's Air  
17 Toxics Program, very briefly, and update on the  
18 development of the ETS identification report.

19           My name is Robert Krieger. And I will be giving  
20 an overview of ARB's exposure assessment. And Dr. Mark  
21 Miller from OEHHA will provide an update on OEHHA's health  
22 assessment.

23           CHAIRPERSON FROINES: One of the things I want to  
24 mention as an aside -- sorry, from the beginning -- but  
25 when I walked out of the Oakland Airport yesterday, there

1 must have been 25 to 30 people smoking. There clearly was  
2 an ETS issue at the Oakland Airport as you go right  
3 outside the United terminal. So if you have any dollars  
4 left, I would spend a little time at about 5 o'clock in  
5 the afternoon in Oakland, because I think you get a lot of  
6 ETS. But that aside.

7           MR. KRIEGER: Well, actually later on in our  
8 program we'll talk about -- a little bit about our ambient  
9 air monitoring program that we are just concluding  
10 finishing right now. And one of those sites happens to be  
11 in an airport. So we can talk a little bit more about  
12 that, just in general.

13           This slide here, everyone's aware of this slide.  
14 This is our identification control program for AB 1807.  
15 Specifically our task or our command here at the Air Board  
16 is to look at substances which are toxic to identify them,  
17 and then ultimately look at the need to control those  
18 toxics as well.

19                                           --o0o--

20           MR. KRIEGER: This specifically talks about the  
21 identification of our substances. And specifically the  
22 Scientific Review Panel plays a very important part in  
23 this process, to provide us the independent peer review  
24 that we need to make sure our documents are based on sound  
25 science, which ultimately leads to a board hearing to

1 identify these. And ETS is in the second stage of the  
2 this process where we're assessing exposure. And OEHHA's  
3 developing a Part B report.

4 --o0o--

5 MR. KRIEGER: As a basis for anything we do to  
6 identify toxic air contaminants we use this definition  
7 that's in our Health & Safety Code, for which -- which is  
8 an air pollutant -- a toxic air contaminant, which is an  
9 air pollutant which may cause or contribute to an increase  
10 in mortality or in serious illness, or which may pose a  
11 present or potential of hazard to human health.

12 --o0o--

13 MR. KRIEGER: As background information for you,  
14 in February of 1992, our collaborative agreement between  
15 the ARB and OEHHA was made to initiate a report on the  
16 health effects of the ETS. This was requested by the  
17 Scientific Review panel.

18 CHAIRPERSON FROINES: Can I stop you for a  
19 second.

20 MR. KRIEGER: Yes.

21 CHAIRPERSON FROINES: I apologize, because I'm  
22 the one trying to keep us all on track, and I'm the one  
23 deviating now. Paul will tell me that in the minutes now.

24 PANEL MEMBER GLANTZ: Yeah, right. Just for the  
25 new members, this is normal behavior.

1 (Laughter.)

2 CHAIRPERSON FROINES: He always accuses me of  
3 taking -- this is the prerogative of the chair.

4 (Laughter.)

5 PANEL MEMBER GLANTZ: I didn't say it wasn't --

6 CHAIRPERSON FROINES: I just want to make one  
7 comment, one comment only.

8 I want to make a point for the record here, which  
9 is that in 1992 the SRP requested a chemical, in this case  
10 ETS -- in other words we requested that OEHHA and ARB  
11 bring forth ETS. And that set in motion the process that  
12 Bob's talking about.

13 And I want to say that as a prelude to our  
14 discussion about pesticides. Because I don't think it's  
15 simply a question of our always waiting on the agencies.  
16 We can make requests for where we decide that a substance  
17 is of particular public health significance.

18 So go ahead.

19 PANEL MEMBER BLANC: And I think the record  
20 should show that John got through that entire statement  
21 without using the phrase "it seems to me that."

22 (Laughter.)

23 CHAIRPERSON FROINES: I was trying to be  
24 unequivocal.

25 MR. KRIEGER: Okay. Thank you, Dr. Froines.



1 and you'll learn more about that later. But to the degree  
2 that there is exposure, we have decided we can identify a  
3 substance as a TAC even if that exposure is relatively  
4 low.

5 MR. KRIEGER: Good point. Thank you.

6 As in other identification reports, our report  
7 addresses the areas required by law. They include  
8 information on a substance's chemical and physical  
9 characteristics, sources and emissions, a major -- or an  
10 estimate of ambient concentrations, indoor and total  
11 exposure, children's exposure, and the substance's  
12 persistence in the atmosphere.

13 For the exposure chapter, we have taken a  
14 slightly different approach from that of past TAC's  
15 exposure assessments. Instead of calculating a statewide  
16 population based annual average concentration, we believe  
17 it is more appropriate in this case to use a scenario  
18 based approach. This approach estimates an individual's  
19 daily ETS exposure in several different  
20 micro-environments.

21 Part of the data to do this analysis will come  
22 from our ambient nicotine monitoring study. This study  
23 was undertaken to provide the data for the gaps that  
24 existed in the outdoor near-source concentrations of ETS.

25 As of today the samples from our last ETS

1 monitored site are being transported to UC Davis for  
2 analysis as we speak.

3           So we've just completed that study.

4           With four out of the five portions of the report  
5 drafted, staff are currently focused on the exposure  
6 assessment chapter, which includes the monitoring efforts.  
7 Once the report is completed, it will undergo internal  
8 management review and be available to the SRP leads by the  
9 end of July.

10           Now, that concludes my presentation for today,  
11 unless you have any questions upon a -- specifically on  
12 our approach. And I can turn the presentation over to  
13 Mark Miller.

14           CHAIRPERSON FROINES: Questions?

15           PANEL MEMBER BLANC: Why don't you just reiterate  
16 the five sites. You have alluded to one of them being an  
17 airport -- outdoor, in front of an airport.

18           MR. KRIEGER: Yeah, airport was one of them, a  
19 general public exposure. We're also doing a public  
20 building. We're doing an amusement park where the  
21 children -- to basically pick up our children's exposure.  
22 We've done a college campus and a government building.

23           So hopefully we're picking all the areas up in  
24 general exposure, a high-end exposure, and a children's  
25 exposure.

1           CHAIRPERSON FROINES: Do you have an anticipated  
2 date that that document would be complete?

3           MR. KRIEGER: Well, actually, we're incorporated  
4 into this document right here. So you'll see all these by  
5 the end of July -- at least the leaves you'll see this --  
6 the results of that study into our report by the end of  
7 July.

8           PANEL MEMBER FRIEDMAN: Hasn't smoking been  
9 banned from government buildings?

10          MR. KRIEGER: It's not banned. Well, inside.

11          PANEL MEMBER FRIEDMAN: You're just doing the  
12 outdoor?

13          MR. KRIEGER: We're doing outdoor. This is  
14 strictly outdoor.

15          PANEL MEMBER FRIEDMAN: Aren't you missing a big  
16 component presenting home -- in the private homes where  
17 people smoke?

18          MR. KRIEGER: What we've agreed upon in this  
19 study since the air boards primarily focus on outdoor  
20 exposures, we'll use the existing data that -- there's  
21 quite a bit actually in indoor exposures already. And  
22 we're using the data that's currently available for indoor  
23 exposures to kind of coordinate a total exposure approach  
24 where we take the outdoor measurements with the activity  
25 patterns and kind of come up with a total exposure for

1 each individual's daily exposure.

2           So the outdoor exposures, there's quite a bit of  
3 data gaps existing in the outdoor ambient exposure. So  
4 since that's our area of responsibility, per se, that's  
5 where we focused monitoring study on.

6           PANEL MEMBER FRIEDMAN: So what will you do, say,  
7 with the government -- the outdoor of the government  
8 building data? How will you get that into a total  
9 exposure pattern given that many people will never be  
10 there?

11           MR. KRIEGER: Well, it's -- you know, again, I  
12 think in importance -- and we're talking about here -- and  
13 Dr. Froines mentioned to, for our assessment we, first of  
14 all, primarily, the State of California, we prove that  
15 there's exposure out there as the basis for identifying  
16 something as a TAC.

17           Now, as far as the Government building, it will  
18 be put into more of a general public exposure kind of  
19 area. The chapter that we're talking about, we're going  
20 to put that into a scenario where a person may be working  
21 in that area, a worker exposed to going outside, walking  
22 around like the commons area around the Government center  
23 is going to be breathing this amount of tobacco, okay, for  
24 this short duration of time.

25           We'll include that into a person's -- well, he

1 goes home. And, let's say, he's home -- maybe he's a  
2 smoker himself. Maybe that's one of the scenarios too.  
3 Another scenario is where he's home with a non-smoker.  
4 And we're going to put that in to estimate kind of a  
5 exposure scenario where a person working in that  
6 environment would be exposed to this much environmental  
7 tobacco smoke.

8           It's not -- it's quite different from other TACs  
9 where we've taken the general population's weighted  
10 exposure throughout the whole state. This way -- we feel  
11 that it's more beneficial to do it this way and show that,  
12 well, yeah, it's very narrow in the people that are being  
13 exposed in the sub-populations, but it gives a good  
14 indication of what a person in this environment might be  
15 exposed to.

16           CHAIRPERSON FROINES: It's my understanding,  
17 Gary, that the ARB doesn't have regulatory authority over  
18 an indoor setting. So that the indoor exposure can be  
19 used for dose response in a hazard characterization, but  
20 not so much would it have relevance for subsequent  
21 regulatory --

22           PANEL MEMBER FRIEDMAN: Well, it certainly would  
23 enter into what the people's exposure would be.

24           CHAIRPERSON FROINES: Yeah, and I think -- but  
25 from a standpoint of this going on to a controlled --

1 various controls, they wouldn't develop controls for  
2 indoor situations.

3           PANEL MEMBER BLANC: Well, I'm glad that you gave  
4 us a little bit more detail on the ambient exposure  
5 sampling plan, which had been shared at least in part with  
6 some of the leads before. And I think it's very clever in  
7 that it will allow you to generalize to the scenarios.  
8 And as I understand it, the use of that outdoor space in  
9 front of a government building was partly convenience, but  
10 should certainly be generalizable to a wide variety of  
11 people occupationally exposed in standard egress and  
12 ingress to office building situations as well as to people  
13 who -- not just people who work but people who have to  
14 come to such buildings for services.

15           So I think that there was a pretty clever choice  
16 of a variety of scenarios, given that you don't have an  
17 inexhaustible time and resources.

18           MR. KRIEGER: Right.

19           PANEL MEMBER BLANC: And I believe that one of  
20 the spaces was a mall, wasn't it?

21           MR. KRIEGER: We were looking at a mall at first.  
22 Yeah, we've actually -- before we even thought of these  
23 things we have a -- you know, we have several of these  
24 places that we wanted to test. And then, like Dr. Blanc  
25 said, that it's a matter of can we get permission to these

1 sites and availability, and were there, you know, smokers  
2 on these sites too as well. So, yeah, Dr. Blanc was  
3 right. We took careful examination of all those places.

4 CHAIRPERSON FROINES: Mark.

5 MR. MILLER: Mark Miller with OEHHA.

6 As has been mentioned, there was an initial OEHHA  
7 document in 1997 which was published by NCI in 1999. And  
8 if there are any of the Panel members who do not have a  
9 copy of that, we'd be happy to make one available.

10 For the update, we felt that since the last  
11 studies included in the original document were in 1996,  
12 that there was a considerable body of literature that had  
13 occurred between then and now. And we are updating each  
14 of the chapters, which include a review of epidemiologic  
15 studies and, as well, animal and biomarkers sorts of  
16 materials that have been published since the original  
17 document.

18 The methods are the same as our outline in the  
19 original document and will be reviewed in an introductory  
20 chapter of this one.

21 --o0o--

22 MR. MILLER: The chapters included individual  
23 chapters on developmental effects, a separate one for  
24 prenatal and postnatal developmental effects, reproductive  
25 respiratory carcinogenic and cardiovascular health

1 effects.

2 PANEL MEMBER BLANC: Mark?

3 MR. MILLER: Yeah.

4 PANEL MEMBER BLANC: You're citing these chapters  
5 as examples, or these are the chapters?

6 MR. MILLER: These are the chapters.

7 PANEL MEMBER BLANC: So -- I think this will come  
8 up in a different context, but for other or miscellaneous  
9 effects that aren't well categorized within these organ  
10 systems, how are you handling those? Only in the  
11 introduction?

12 MR. MILLER: What are you thinking, effects?

13 PANEL MEMBER BLANC: Well, suppose there was an  
14 endocrine effect that someone had shown that was not a  
15 reproductive endocrine effect. Where would you handle  
16 that?

17 MR. MILLER: Well, the endocrine effects were  
18 handled in the reproductive chapter.

19 PANEL MEMBER BLANC: And the tissue of  
20 sensitization, since it's not solely a respiratory health  
21 effect, is all of -- all immunological are subsumed under  
22 respiratory effects?

23 MR. MILLER: That's -- I believe that -- at least  
24 primarily those are all under the respiratory section. So  
25 whether -- they may include some -- you know, we may

1 discuss something that either, you know, is not directly  
2 apparent, you know, related that at chapter -- but has a  
3 more general context. Or of course there are a number of  
4 areas that are applicable across several chapters, in  
5 which case we made an attempt to put it in the most  
6 applicable chapter and then reference it in other  
7 locations where that seemed applicable.

8           PANEL MEMBER BLANC: Well, what I would suggest  
9 then, rather than have you add a miscellaneous chapter,  
10 which would be a hodgepodge, is to be very cautious in  
11 your introduction to highlight those subjects which are  
12 somewhat tenuously linked or had to be, you know, forced  
13 into a certain chapter, and just highlight where you've  
14 put them in your introduction, and acknowledge that they  
15 aren't pure -- you know, that sensitization is not purely  
16 a respiratory effect, but since you wish to focus on  
17 asthma, blah, blah, blah.

18           I assume you're including the respiratory health  
19 effects as upper and lower so that's where nasal effects  
20 would be?

21           MR. MILLER: Yes.

22           PANEL MEMBER BLANC: That kind of thing would  
23 be --

24           MR. MILLER: And sensory perception is in there.

25           PANEL MEMBER BLANC: Well, then again, your

1 introduction better say that you've included sensory  
2 perception in your respiratory chapter even though that's  
3 not a respiratory word --

4 MR. MILLER: Perhaps some level of indexing would  
5 be appropriate.

6 PANEL MEMBER GLANTZ: Well, the other thing that  
7 you might want -- I mean I've seen a few of the draft  
8 chapters, which I think have been quite good actually.  
9 But I think that maybe that after you have all the  
10 material assembled, you might want to change the chapter  
11 names appropriately. So if you were to say sort of  
12 reproductive and other endocrine effects, you know, that  
13 would fix it. Because I don't think at this point we want  
14 them to go and try to rewrite the whole document.

15 PANEL MEMBER BLANC: No, that's why I suggested  
16 handling it. I mean that's in addition to making it clear  
17 in the introduction you can handle it. But you may run  
18 into things I mean are -- I don't if there's any  
19 literature on any renal effects from secondhand smoke.  
20 But if there were, would you just say they're all  
21 cardiovascular, therefore? I mean I don't know. But it  
22 doesn't -- you want to have a document which also makes  
23 sense to people from different disciplines.

24 MR. MILLER: Let me say this about our approach:  
25 Our approach was in fact to update the prior document.

1 And since this was seen as not a -- we didn't want to  
2 repeat everything or combine everything. And so that  
3 there are two separate stand-alone documents. What we did  
4 was at the beginning of each subsection try to summarize  
5 in a paragraph the findings previously just as -- so that  
6 you could have a sense just from this update of where it  
7 stood.

8           But all of the sections and the subsection  
9 numbering and titling we tried to, as well as we could,  
10 follow the previous document so that you could match up  
11 where you were and go back and look at the original  
12 review. So that's how we got to where we were.

13           PANEL MEMBER BLANC: Well, I think that's a  
14 compelling argument to follow up on that. I don't object  
15 to that and I don't object to keeping in the same chapter  
16 and the same thing. But somehow you need to acknowledge  
17 that you're perhaps in certain places stretching what the  
18 definition would be so that the reader of the document  
19 knows that you know that in fact, you know, certain --

20           MR. MILLER: And also that they know where to  
21 find something if they're looking for a specific thing. I  
22 think that's an excellent idea and that we should be able  
23 to accomplish that.

24           CHAIRPERSON FROINES: Let me actually take from  
25 what Paul just said and give you a specific example that

1 came to my mind. Yesterday, I heard an absolutely  
2 extraordinary presentation by Frank Gilliland, who's at  
3 the University of Southern California. And it really  
4 knocked me off my feet. And what he was looking at was  
5 GST polymorphisms. And he was looking at asthma incidents  
6 in children from 0 to 5 as a result of in-utero exposure.  
7 So you have genetics, gene environment interaction, you  
8 have in-utero exposure, and you have asthma as an outcome,  
9 following birth obviously. And so the question would be  
10 how would you -- I actually wanted Frank to come present  
11 the data to this Panel because it's so striking. And I  
12 don't know whether we'll do that. But it does seem to me  
13 that it does -- it does raise a question of where would  
14 you put in your system that kind of information?

15 MR. MILLER: Well, I think the way that it has  
16 happened is that those studies that were generated out of  
17 a respiratory effect, you know, are in the respiratory  
18 chapter, whereas, you know, polymorphisms that had to do  
19 with a study that was relevant to reproductive effects are  
20 in the reproductive chapter and so on. They're not, you  
21 know -- that's the way it's divided up and --

22 PANEL MEMBER BLANC: That's okay. That's okay.

23 MR. MILLER: I think we'll do -- you know, we'll  
24 take under advisement the suggestion and see how best we  
25 can pull that together in a way that we are able to

1 identify and make clearer and, you know --

2 CHAIRPERSON FROINES: Well, I just think that --  
3 forgetting the genetics for a moment -- the in-utero  
4 exposure to ETS as a long-term predictor of adverse health  
5 outcomes is a very important topic, and so it almost  
6 deserves some focus in and of itself. But we'll just see  
7 as you -- as we see these chapters.

8 But I would contact Gilliland and get his work,  
9 by the way.

10 MR. MILLER: Yeah, we do reference, you know,  
11 some of that. But I don't know about -- anything about  
12 what we publish, so...

13 --o0o--

14 MR. MILLER: So our intention is that this is a  
15 stand-alone document, but that it's tied with the original  
16 document. It includes, where it was possible to develop,  
17 newer estimations of attributable risk in those areas that  
18 were felt to be causative.

19 --o0o--

20 PANEL MEMBER BLANC: Not to say that you were  
21 going to not comment on estimates of relative risk where  
22 appropriate too?

23 MR. MILLER: Yes, where we have adequate  
24 evidence.

25 CHAIRPERSON FROINES: As a member of the UCLA

1 School of Public Health, I apologize.

2 (Laughter.)

3 PANEL MEMBER GLANTZ: You should.

4 (Laughter.)

5 PANEL MEMBER GLANTZ: We're doing a study of how  
6 that paper came to pass. And it's going to get even more  
7 unpleasant.

8 CHAIRPERSON FROINES: James Enstrom's paper --

9 PANEL MEMBER GLANTZ: -- that dreamt up by  
10 Phillip Morris.

11 CHAIRPERSON FROINES: Go ahead.

12 PANEL MEMBER HAMMOND: How smoking doesn't cause  
13 any lung cancer.

14 MR. MILLER: So to date where we stand, we've  
15 provided most of the chapters already to the leads as  
16 individual chapters and have received some comments. The  
17 last two chapters will be provided to the leads by the end  
18 of this month. And then the reviewed and adjusted  
19 document will be provided to the leads by the end of July.

20 --o0o--

21 MR. MILLER: So this is a slide with what we're  
22 proposing as a reasonable and doable time line. The draft  
23 report should be available to the public for comment by  
24 the end of September. By the end of October we'll have  
25 held public workshop, and by the end of November responded

1 to public comments. And of course that -- it does depend  
2 a little bit on the degree to which we receive comments.  
3 Hopefully by the end of November.

4 And we should have a revised report then by the  
5 end of January, available in early spring to the SRP for  
6 their review.

7 CHAIRPERSON FROINES: And when would the entire  
8 report go to the SRP within this context?

9 PANEL MEMBER BLANC: Early spring.

10 MR. MILLER: Early spring. I mean that has to  
11 happen after public comment and revision, is my  
12 understanding.

13 PANEL MEMBER BLANC: Okay.

14 CHAIRPERSON FROINES: Thank you.

15 Any questions, comments?

16 Joe.

17 PANEL MEMBER LANDOLPH: If you have a copy of  
18 that earlier monograph, I'd love to have one.

19 MR. MILLER: Yes.

20 CHAIRPERSON FROINES: Stan.

21 PANEL MEMBER GLANTZ: I've been -- you know, this  
22 sort of is for the new members too, talking about the  
23 quality of the report we looked at earlier.

24 I'm amazed as I go around the world, attend  
25 meetings and presentations on secondhand smoke, that the

1 1997 document is the definitive international document on  
2 this question. I mean -- I see Kathy nodding her head.  
3 It's just everywhere I go people are quoting that  
4 document. I think OEHHA can be really proud of the  
5 quality of the work that was produced there. It is the  
6 gold standard. And I think having looked -- the Golden  
7 Bear standard.

8           Anyway, the -- and I think that the chapters that  
9 I've seen, I've had a few minor comments on them, but I  
10 think it's continuing this very high quality document that  
11 will come out and I think be a substantial contribution  
12 not only to the AB 1807 process, but as another measure of  
13 international science as a resource.

14           PANEL MEMBER FRIEDMAN: I'm not clear on how  
15 you're going to append the original report. I mean are  
16 you going to have a doubly thick volume --

17           MR. MILLER: No. This will be a stand-alone.  
18 But as I said previously, it's designed in such a way that  
19 all of the numbering headings and subheadings are fairly  
20 closely aligned with the original document. We refer to  
21 the original document throughout it. We try to summarize  
22 briefly what the findings were in the original document.  
23 We do not try to repeat any detailed information about the  
24 studies that were previously reviewed. So it will not --  
25 if you want the original document, you have to get the

1 original document and look at it. And the way I look at  
2 it, the original document plus this document are in fact  
3 the document.

4           PANEL MEMBER GLANTZ: I don't mean to find -- I  
5 mean I don't want to -- I mean the way the chapters I've  
6 seen are organized, there's one other thing in addition  
7 what Mark said. It's each chapter starts out and -- or  
8 each -- often sections within the chapter say, "The  
9 original document said blah, blah, blah. Here's a summary  
10 of the new studies produced since then." And then it  
11 ends -- most of the sessions, I think all of the sections,  
12 ends with a thing that says the data published since the  
13 original document are consistent with the previous  
14 findings or lead us to change the original conclusions by  
15 either saying the evidence is now stronger or weaker. And  
16 if there's some estimate of the risk change.

17           So it reads pretty well, I mean as a stand alone.

18           MR. MILLER: As well, we're in the process of  
19 developing a small chart for the front of each chapter  
20 that will review, you know, really briefly, the number of  
21 studies in the original document, the number of studies  
22 reviewed in the present document, the findings of the  
23 original document, and the findings, you know, if they  
24 were changed or left the same in the current update. So  
25 that you can look at it and get a sense of, you know,

1 where do you want to look.

2           PANEL MEMBER GLANTZ: The one thing I -- I think  
3 that's a really good approach and it avoids a lot of  
4 duplicate efforts and things. The one thing I would  
5 suggest, that the one exception I think you ought to make  
6 to this general approach though is when you write  
7 introductory chapter. I think that should be a  
8 comprehensive introduction that covers the full body of  
9 evidence, not just the new evidence.

10           MR. MILLER: Yeah, you'll be seeing that chapter  
11 by the end of this month.

12           PANEL MEMBER GLANTZ: But I think -- because I  
13 think just as the current document is widely utilized, I  
14 think this one will be too. But I think having a nice  
15 summary at the beginning of everything will be -- will  
16 make it more useful to the general public.

17           PANEL MEMBER FRIEDMAN: I guess what confused me  
18 was your third last line of the first point says,  
19 "Original document to be appended for sake of reader."  
20 That's what I wondered, if you're actually --

21           MR. MILLER: We're not attaching it.

22           PANEL MEMBER FRIEDMAN: Okay.

23           CHAIRPERSON FROINES: Thank you very much.

24           Stan, what time do you have to leave?

25           CHAIRPERSON FROINES: Oh, about Noon or --

1           CHAIRPERSON FROINES:  Then I'd like to take just  
2 a few minutes before we go to formaldehyde and fluorides,  
3 in case you have to leave.  Just so that you're aware  
4 of -- I wanted to talk about the DPR letter and status so  
5 that you have that before you go.

6           And just for the Panel I'd like to review the  
7 history briefly.  And then we can have a discussion.

8           Basically I sent on September 11 -- I didn't  
9 prepare a PowerPoint slide, so I'm sorry.  But September  
10 11, 2002, I sent a letter to Allen Lloyd as head of the  
11 ARB, Mike Kenny, who was then Executive Officer, Joan  
12 Denton, the Director of OEHHA, and Paul Helliker, talking  
13 about trying to get an update from them on future  
14 Scientific Review Panel activities that would be coming to  
15 the Panel from their agencies.

16           As a result of that letter Helliker sent me a  
17 letter on October 10th, in which he said -- and I realize  
18 this is a bit of a paraphrase, but I'll --

19           PANEL MEMBER GLANTZ:  For the new people, you  
20 might just identify who he is.

21           CHAIRPERSON FROINES:  Oh, Paul Helliker is the  
22 Director of Department of Pesticide Regulation.

23           On October 10th, Mr. Helliker sent me a letter in  
24 which -- and I'm going to oversimplify it for the sake of  
25 time -- but he said, "Thank you for your interaction with

1 DPR," and "We are essentially not going to be bringing  
2 chemicals to the Panel in the foreseeable future, although  
3 we intend to keep working with you. And as chemicals come  
4 up, we will bring them. But we're essentially canceling  
5 everything that's currently in the basket."

6           As you know, I then sent -- as a result of that,  
7 on January 31st, 2003, I sent a letter to Helliker that  
8 everybody here except for the new members of the Panel has  
9 seen -- that basically I commented on Helliker's letter  
10 and said that I thought that the tack they were taking was  
11 not appropriate from a public health standpoint, and that  
12 we wanted to continue working with them and we wanted to  
13 continue working with the pesticides that we already had  
14 committed to as well as issues of risk assessment  
15 methodology, exposure assessment, and what have you.

16           I then met with Jim Behrmann and I met with Mr.  
17 Helliker on February 14th. And at that meeting -- and I  
18 should say for the record that that was a very good  
19 meeting. And it appeared to Jim and myself that Mr.  
20 Helliker basically agreed with everything -- with most  
21 everything that was in my letter to him earlier, and that  
22 we were anticipating a new approach different than what  
23 had been contained in his letter. And he said he would  
24 get back to us with a response within a couple of weeks.

25           We have never heard a response from him that

1 memorialized that meeting and discussed the future  
2 relationship. I sent him an E-mail saying, "I hadn't  
3 heard from you." He sent an E-mail back saying he would  
4 get back to me within a week. I didn't hear for three or  
5 four weeks. I sent a second E-mail and I still haven't  
6 heard back.

7           So as of now, the current situation is that we  
8 have not had a response from DPR based on our meeting with  
9 Mr. Helliker and we haven't had a formal response to the  
10 letter that I sent.

11           And I think that's an accurate representation of  
12 the history.

13           Elinor or Jim, do you -- am I missing something?

14           So the bottom line is we are essentially on hold  
15 waiting for Mr. Helliker.

16           Now, I should say that at the meeting that we  
17 held with Paul Gosslin and Paul Helliker there were two  
18 representatives from the Legislature -- legislative staff  
19 from Byron Sher's staff at the meeting, and they  
20 strongly -- and I can't tell you how strongly -- supported  
21 the idea of DPR bringing pesticides to this panel. They  
22 made it -- they were absolutely unequivocal and actually  
23 suggested that they might hold a public -- a legislative  
24 hearing on the matter were this issue not resolved.

25           So that's also in the background. And you've

1 seen the letters between Helliher and Sher on methyl  
2 bromide. And I won't say anything more about that unless  
3 somebody in the Panel wants to ask about it. But  
4 basically -- except to say that DPR has essentially said  
5 to Senator Sher and Representative -- Assembly Member  
6 Laird that they will not bring methyl bromide to the  
7 panel.

8           So at this point we are in a situation where we  
9 have had no response from DPR. And, in essence, we're --  
10 I guess you would say we're on hold. But there doesn't  
11 seem to be -- now recognizing that there is serious  
12 budgetary issues going on, this item could be lost within  
13 that context. So I don't mean to point fingers. But on  
14 the other hand, as you know, Helliher sent a very detailed  
15 letter, took time to write a very detailed letter to  
16 Senator Sher and Assemblyman Laird, and we haven't had the  
17 courtesy of a similar response.

18           So, we're basically in a position of waiting at  
19 this point, unless somebody has a brilliant strategy to  
20 move this forward.

21           PANEL MEMBER BLANC: John, has there been any  
22 indication of involvement from relevant public interest  
23 groups? For example, the Natural Resources Defense  
24 Council. Or are you aware of any legal suits or petitions  
25 from the public to force the pesticide branch to move

1 forward?

2 CHAIRPERSON FROINES: Well, first question I have  
3 is to Jim and Elinor. Has the Panel received the  
4 Pesticide Action Network Report?

5 MR. BEHRMANN: No.

6 CHAIRPERSON FROINES: Do we have it? Could we  
7 have a copy of it?

8 MR. BEHRMANN: I do not have a copy.

9 CHAIRPERSON FROINES: Elinor, you have a copy.  
10 Can you make it available to Jim? And he can circulate  
11 that. Because there was a very lengthy report done by the  
12 Pesticide Action Network, which I think you'll all find  
13 rather interesting. It was highly critical of DPR. And  
14 it pressed for DPR -- pressed in their report for DPR to  
15 bring more substances to this Committee. So there is and  
16 external public interest group that actually has taken the  
17 issue up.

18 There are no lawsuits as far as I know from  
19 public interest groups on this matter. So that as far as  
20 I know that hasn't happened.

21 And the Legislature's clearly focusing on the  
22 budget. So that I don't think that anybody's interested  
23 in holding hearings at this point.

24 But it's --

25 PANEL MEMBER BLANC: Well, then to follow up, I

1 wonder whether the -- I wonder whether if you could  
2 approach a legal counsel for the ARB and ask them to give  
3 you an opinion as to what the standing of such groups  
4 might be in such actions so that we would understand what  
5 our role might be. Of course we're completely neutral  
6 point of view. But I think that would be -- I think we  
7 have every right to ask counsel to give us an opinion on  
8 that subject since we might become involved one way or the  
9 other.

10 CHAIRPERSON FROINES: Well, I think that's -- we  
11 certainly can do that. And Jim can make a note of that.

12 But I wanted to raise -- I never -- I'm glad you  
13 said that because it raises another issue. As everybody,  
14 with the possible exception of the new members, knows that  
15 when a substance is brought before this Panel, one of the  
16 legislative requirements is that the agencies develop a  
17 risk assessment to estimate the public health risk  
18 associated with that particular substance.

19 Now, under the Clean Air Act amendments of 1990,  
20 189 compounds were designated as HAPs. And those  
21 compounds have been grandfathered in as toxic air  
22 contaminants. So they -- so we have 189 HAPs that are now  
23 toxic air contaminants. However, OEHHA has through their  
24 acute and chronic REL process developed risk assessments.  
25 I don't know, Andy, how many of the HAPs have had a cancer

1 risk assessment done.

2           But the question is: Shouldn't compounds that  
3 have been grandfathered in as HAPs, shouldn't the risk  
4 assessments be then brought before this Panel for review  
5 and approval? And so methyl bromide, for example, is a  
6 HAP. And I would argue that under the 1807 statute -- and  
7 I'm not a lawyer -- that that compound should come -- the  
8 risk assessment done by DPR should come before this Panel  
9 for its review the same way we're going to review ETS.

10           So just because something has been grandfathered  
11 in doesn't mean it no longer has to have a risk assessment  
12 developed and a review by the SRP. So there is an  
13 outstanding legal issue which I think we should ask the  
14 ARB and OEHHA lawyers about. Because it seems to me that  
15 if there is a compound "T" loan, for example, or compound  
16 "X," that is a HAP. Therefore, a TAC -- it does seem to  
17 me that that compound -- OEHHA should develop a risk  
18 assessment and that risk assessment should be brought  
19 forth for review.

20           And so I think that's the issue that I don't know  
21 the answer to. But it seems like a relevant one because  
22 it affects a large number of chemicals.

23           And, Andy or George, I don't know if you want to  
24 comment, not so much on the question I'm raising but on  
25 whether you think there are a number of HAPs that haven't

1 come before the Panel in terms of cancer potency document.

2           OEHHA DEPUTY DIRECTOR ALEXEEFF: George Alexeeff,  
3 Deputy Director for OEHHA.

4           In terms of the legal interpretation it would be  
5 best to ask the Air Resources Board, because their legal  
6 staff primarily advises the SRP here. We have one  
7 attorney in our department, who primarily focuses on  
8 Proposition 65. So she's not as familiar with the  
9 statutes under this program. So I think in the end it  
10 would be ARB's legal advice that would be definitive as  
11 far as it could go.

12           In terms of the HAPs, yeah, we always jointed  
13 with the Air Board, took the position that we would have  
14 to -- if we developed the potencies for those things that  
15 were grandfathered in, that we'd bring them before the  
16 Panel here. And of course we had this overlapping law  
17 called the Hot Spots Program, which was -- while the TAC  
18 program focuses on area-wide exposure, the Hot Spots  
19 Program focuses on specific hot spots within the State.

20           So that also has a lot of chemicals, we also are  
21 required to bring those to the Panel here. So -- and all  
22 of the hazardous air pollutants are part of the Hot Spots  
23 Program.

24           And now we have this new program which was  
25 mentioned earlier today by Dr. Salmon about the Children's

1 Environmental Health Protection Act. So under that  
2 program we're supposed to review all of the hazardous --  
3 all the toxic air contaminants and bring them back to the  
4 panel. So we have another reason to bring them all back  
5 to the Panel again whenever we time develop them.

6 So far we've brought to this Panel over on the  
7 potency side, including these TEFs and things, over 200  
8 potency -- cancer potencies to this Panel for review. And  
9 probably another -- well, they are probably another 150 to  
10 200 other levels, acute or chronic reference levels, that  
11 this Panel has seen. So we've actually brought quite a  
12 few to the Panel under the assumption that the statute  
13 reads that we're too bring the issue regarding  
14 identification as well as the issue on potency and risk  
15 assessment to the panel. And it also served us well to  
16 get good peer review on those levels from this panel.

17 CHAIRPERSON FROINES: Thank you, George.

18 I just want to make one comment for Kathy and  
19 Joe. When 1807 was passed in 1982, I guess, it was  
20 anticipated there that the Panel would take up six  
21 ARB/OEHHA toxic air contaminants a year and six pesticides  
22 a year. As George points out, we have had well over 200  
23 compounds from OEHHA and ARB and we have had three in 20  
24 years from DPR.

25 And hence the tension that exists around this

1 topic.

2 PANEL MEMBER GLANTZ: Well, that's more or less  
3 what I was going to say.

4 The other thing, they have been just foot  
5 dragging. And we had a brief period where the sun seemed  
6 to be coming out from under the clouds over the last  
7 couple of years and things started to move a little bit.  
8 But this latest set of correspondence is very troubling.  
9 Because I mean I think that, budget issues aside, they're  
10 basically ignoring the law again.

11 CHAIRPERSON FROINES: You see, the budget issue  
12 is one that people here probably are not aware of.  
13 Because DPR, unlike OEHHA and ARB, actually derives  
14 significant income from mill tax. So -- and they've  
15 gotten an increase in the mill tax, so that they actually  
16 have been impacted somewhat less than some of the other  
17 state agencies. That's my impression. And I may be  
18 wrong, but that's my impression.

19 OEHHA DEPUTY DIRECTOR ALEXEEFF: Well, the budget  
20 decisions aren't over yet for the year, so we're still  
21 seeing how it's all going to play out.

22 But you're right. The DPR is -- their funding --  
23 their proposal is to have all their funding based on their  
24 assessments, various assessments that they have, including  
25 the mill tax.

1           PANEL MEMBER FRIEDMAN:  If there is a pesticide  
2 that really constitutes an important public health hazard,  
3 say, under the Hot Spot Program, is there any reason why  
4 OEHHA can't take it up and just say, "Well, DPR's not  
5 doing it.  But we think it's important and, well, let's us  
6 do it."?

7           OEHHA DEPUTY DIRECTOR ALEXEEFF:  Well, that -- I  
8 guess it would also require some legal interpretation.  In  
9 the statute the way it's written, we provide health  
10 consultation in both evaluating health protective  
11 pesticides to DPR as well as in developing their report.

12           So if DPR is unable to develop a report, I guess  
13 those kinds of questions could be asked.  And, again, we'd  
14 have to consult with ARB attorneys to see what the legal  
15 ramifications.  But our primary response has been to  
16 provide some sort of support review.  We have some  
17 specific functions in the statute where we provide  
18 findings of the pesticides, as you've seen, and our  
19 efforts have been to try to support the DPR in that basis.

20           So --

21           PANEL MEMBER GLANTZ:  Well, you know -- I mean I  
22 don't want to prolong this discussion.  But I mean I  
23 think -- as a friend of mine says, "When the handwriting's  
24 on the wall, read it."  And I think the handwriting with  
25 DPR has been there for years and, that is, they just don't

1 want to pay attention to this law. And I mean I think  
2 that we should sort of continue the current discussions.  
3 But at some point it might be appropriate for the Panel to  
4 send a letter to Senator Sher, who's the Chairman of the  
5 Environmental Committee, and his counterpart in the  
6 Assembly, pointing this out and suggesting that if the  
7 Legislature wants pesticides addressed as toxic air  
8 contaminants, maybe they need to amend the law and have  
9 DPR not do it. Because they're clearly not doing it.

10 I mean I -- many of you -- we all were appointed  
11 by different appointing authorities. And I'm here  
12 appointed by the State Senate Rules Committee. And some  
13 years -- many years ago when David Roberti was still the  
14 Chair of the Rules Committee, President Pro Tem of the  
15 Senate, when they reappointed me, I actually wrote him a  
16 letter and said, "You know, you might want to just repeal  
17 the pesticide component of AB 1807 because it's being  
18 ignored. And you don't want to have the fiction that it's  
19 being dealt with." And that caused a bit of a flurry for  
20 a little while. But I think we're sort of back to that  
21 point where we really -- I think the most useful think we  
22 could do is to simply point out the reality of the  
23 situation to the Legislature and say, you know, "You have  
24 this law. It's being ignored. You should either  
25 recognize it's being ignored or change the law so that

1 someone who has an interest in pursuing the goals of the  
2 law will do it." Because DPR clearly doesn't want to do  
3 it.

4 CHAIRPERSON FROINES: Well, what I'm asking the  
5 Panel by bringing it up is basically your advice so to how  
6 to proceed, if at all. And shall we wait for the Helliker  
7 response? Shall we send the letter you're talking about?  
8 How do you want to proceed?

9 PANEL MEMBER GLANTZ: Well, I think what you  
10 suggested. I mean the fact is the budget is still what  
11 everybody's thinking about up there. It's a convenient  
12 excuse. There is a transcript of this meeting, which  
13 presumably DPR will get to see, if they care. And I would  
14 suggest we wait a bit longer till the current dust  
15 settles. If we had some satisfactory movement out of DPR  
16 in the month or two, or however long, fine. If not, then  
17 I think that we should send a letter to the appropriate  
18 authorities just saying that this is not working.

19 And because it's really not -- we don't have any  
20 authority to compel them to do anything. I mean, as you  
21 pointed out earlier, we have made suggestions to the ARB  
22 and OEHHA. I mean you mentioned the ETS. There have been  
23 several. And They've generally been receptive to those  
24 suggestions. And we've attempted -- and this is for the  
25 benefit of the new members -- to take some of the same

1 procedural actions that worked very well with OEHHA and  
2 ARB, and get DPR to do them; for example, prioritizing.  
3 We put in place a prioritizing process. And that was sort  
4 of moving on pesticides, and then that stopped to get  
5 people to bring us compounds that are not just easy but  
6 important.

7           And, you know, if they're not going to do it, we  
8 can't compel them to do it. All we can do is go back to  
9 these -- the policy makers and just point out to them that  
10 it's not -- that DPR is just simply ignoring the law. You  
11 know, it's true there are budget -- times are tough  
12 budgetarily for everybody. But, you know, you still have  
13 to obey the law.

14           PANEL MEMBER BLANC: I think, John, it's a little  
15 unclear to me as a Panel member what you are inclined to  
16 as Chair. And I need to hear that in order to  
17 appropriately reflect back to you. Rather than for me to  
18 suggest what you should do, I'd like to hear what you  
19 would like to do in the interim. And then I'd be happy to  
20 give you feedback on whether that is appropriate.

21           CHAIRPERSON FROINES: At this point, I basically  
22 agree with what Stan said. I think we should give them a  
23 couple of -- we're not giving them anything. That's bad  
24 phraseology. That we should wait for a period of a month  
25 or two, hoping that we'll get a response from the agency

1 and perhaps hold subsequent meetings with them to discuss  
2 it further.

3           And then if that doesn't -- if it just doesn't  
4 happen, then I would send a message to the Panel and  
5 basically recommend that we take it to the next step,  
6 which would be to the Legislature who enacted the  
7 legislation to begin with.

8           PANEL MEMBER BLANC: Well, I would suggest a  
9 couple of modifications of that, if not inconsistent with  
10 that plan. But one is that I would recommend against you  
11 meeting individually with the head of DPR again as a next  
12 step. I think that would be giving them good feedback  
13 from inappropriate behavior. And I think the next step,  
14 regardless of whether you receive a written response from  
15 Mr. Helliker or not prior to our next fall meeting, is  
16 that you formally invite him to come and speak to the  
17 Committee. And he either needs to accept or decline that.  
18 And that would be further documentation of their  
19 willingness or unwillingness to be responsive to this  
20 Committee.

21           CHAIRPERSON FROINES: And you would do that prior  
22 to any communication with the Legislature?

23           PANEL MEMBER BLANC: And that would be in the  
24 same time sequence. If we refuses to come or depending on  
25 what he says when he does come, you would follow through

1 the Legislature in response to that. And I would actually  
2 invite a representative -- if he does accept to come, I  
3 would invite a representative from the Legislature to come  
4 as well to that meeting.

5           And the other thing in the interim is that I  
6 would pursue understanding what the legal implications are  
7 both in terms of our involvement and in terms of public  
8 interest groups.

9           CHAIRPERSON FROINES: Kathy.

10           PANEL MEMBER HAMMOND: This is probably naive.  
11 But as a new member I guess I have some prerogatives to be  
12 naive. But I'm feeling we're all busy people. And we  
13 don't have much time and -- but it's a certain  
14 responsibility to the people of California who are  
15 supporting us in our work, the reasons that we serve here.  
16 And part of that responsibility as I understand it is to  
17 be providing scientific advice for the people of  
18 California to the Legislature and to these agencies on  
19 matters that come before the Air Resources Board, OEHHA,  
20 and the pesticides. And it seems to me that we're not  
21 being enabled to fulfill our responsibilities. And to me  
22 that seems pretty serious. I take that -- I feel like I  
23 have a responsibility on this Board -- or this Panel that  
24 I may not be able to fulfill. And I just want to express  
25 concern about that.

1           CHAIRPERSON FROINES: Yeah, good.

2           Do you agree with Paul's -- I think that -- I  
3 didn't mention the legal advice, but I took that as a  
4 given. And Paul's proposal basically says that we will  
5 wait for a period of time and then invite Mr. Helliker to  
6 the next meeting, irrespective of whether he gives a  
7 written response or not.

8           PANEL MEMBER HAMMOND: I was -- that probably  
9 depends on when the next meeting is.

10          PANEL MEMBER GLANTZ: Yeah, I actually don't  
11 think -- I think we should wait a while and give him a  
12 chance to respond. But I mean -- again, maybe I'm being  
13 cynical from having these people come to these meetings,  
14 and they tap dance around. I remember one where we spent  
15 45 minutes arguing about what the word "drift" meant and  
16 whether pesticides drifted. And then it turned out that  
17 there was some obscure -- they redefined the word "drift"  
18 in their regulations. So that drift actually meant it  
19 was -- the pesticide was applied in the wrong place, not  
20 the wind blew it there.

21          And I don't really think anything would be gained  
22 by having a meeting with him. I think the correspondence  
23 between John and the Legislature and him is pretty clear.  
24 And I think that he can either respond or not respond.  
25 And if he responds in a timely manner with a reasonable

1 response in writing, then we should proceed and try to  
2 work collaboratively. And if he doesn't, I think we  
3 should simply inform the policy makers that we perceive  
4 this as a problem. I don't think him waiting for however  
5 long it's going to be before we meet again, which will  
6 probably be several months, it's worth the wait. I don't  
7 think there'll be any value to it.

8           PANEL MEMBER BLANC: Well, I -- yeah, I must have  
9 misunderstood your comments because I interpreted your  
10 comments as saying we should wait at least several  
11 months --

12           PANEL MEMBER GLANTZ: No, I think we should wait  
13 a month or two, tops. You know, I think we should give --

14           CHAIRPERSON FROINES: We're going to have a  
15 meeting -- I would point towards a meeting three months  
16 from now would make the most sense. We certainly will  
17 have an agenda three months from now. So I think that --  
18 I don't think the timeframe is too --

19           PANEL MEMBER GLANTZ: Okay. Well, I think we --  
20 why don't we -- I do agree with what Paul said about I  
21 don't think you would need to take your time to have any  
22 more private meetings with him.

23           PANEL MEMBER BLANC: And the other --

24           PANEL MEMBER GLANTZ: I do agree with that. I  
25 don't see where anything's going to be gained. I mean I

1 think that the facts, the positions are out on the table.  
2 And really it's their decision to make of whether they  
3 want to kind of go back to where we were a few months ago  
4 where things seemed to be moving and pick up the ball and  
5 continue moving them, or to maintain their current  
6 position which is essentially that they're not going to do  
7 anything. And they know what we think. We know what they  
8 think. And I think they -- I mean we should just see. If  
9 they change their position, fine. Then we move forward.  
10 If they don't change their position, then I think we  
11 should just let the appropriate authorities know that we  
12 perceive this as a problem.

13 CHAIRPERSON FROINES: I think that there's -- I  
14 think that there is a reason for a meeting, and so I don't  
15 entirely agree with Paul and you, in the following  
16 context: I think if we don't hear from Mr. Helliker  
17 and -- if that's what happens, and that's entirely  
18 possible, that's one thing. But if he sends me a letter  
19 and requests a meeting, then I feel that there is an  
20 obligation to meet with the agency head who requested the  
21 meeting. So I think that's --

22 PANEL MEMBER GLANTZ: Oh, no, no. No, I'm not  
23 saying that you should refuse ever to meet with him. I  
24 thought you were talking about you originating the  
25 meeting. I think if they --

1 CHAIRPERSON FROINES: Well, I would argue -- let  
2 me just finish, Stan. What I would argue is that he -- if  
3 he requests such a meeting, we should consider having one.  
4 But it could be in the context of having him come to the  
5 Panel for that meeting.

6 PANEL MEMBER BLANC: That's exactly what I wanted  
7 to say. You -- I'm out of turn, so --

8 PANEL MEMBER LANDOLPH: No, you go right ahead.

9 PANEL MEMBER BLANC: You said that they know what  
10 we think and we know what they think. Does the public  
11 know what we think and what they think? I think -- I  
12 believe that it would be important to have him in a  
13 publicly available transcript, the appropriate  
14 documentation of the status of things. And that's why I  
15 don't think you should meet with him again privately and  
16 that's why I do think that if he offers a meeting, you say  
17 yes and the meeting will be with the entire panel in open  
18 reported session.

19 PANEL MEMBER GLANTZ: I agree with that.

20 PANEL MEMBER BLANC: Because actually we have not  
21 had -- you say we've had people from DPR. But we actually  
22 haven't had very high level representatives from the DPR  
23 anytime recently, I recall. I think the last time that  
24 anybody came from DPR, it was a very low level of people  
25 who couldn't actually answer any questions. That's my

1 memory.

2 CHAIRPERSON FROINES: Well, that was one of the  
3 reasons they there was that -- how should -- what do you  
4 say -- tension at the meeting, because we were having an  
5 update on a process and there was nobody there from the  
6 agency. And I think it rubbed everybody the wrong way. I  
7 mean it was disrespect of this panel to be having an  
8 update on a very important process and have no  
9 representative from the agency at the meeting. So it  
10 caused a certain degree of tension. And maybe things were  
11 then overstated that might not have been said so  
12 otherwise. And we can avoid those kinds of issues. But  
13 the -- it was -- I think it didn't show the kind of  
14 respect that this panel deserves.

15 So that's the plan. Does that seem reasonable?

16 So we'll wait for one or two months --

17 PANEL MEMBER HAMMOND: Joe has a comment.

18 CHAIRPERSON FROINES: Oh, I'm sorry, Joe.

19 PANEL MEMBER LANDOLPH: No, that's okay. I just  
20 had a question just for my information.

21 Who is Mr. Helliker's immediate superior? And  
22 then are any orders coming down from that line not to have  
23 us involved? What is known about this?

24 CHAIRPERSON FROINES: Well, we've made the  
25 Secretary of Cal EPA aware that these discussions are

1 going on. We have not -- in the spirit of collegiality,  
2 we haven't escalated this up to Winston Hickox as  
3 Secretary.

4           That clearly is an option that we can consider.  
5 But we haven't done it because we've tried -- I've  
6 tried -- I mean you haven't seen any news stories. You  
7 haven't seen any public, you know, outcry or what have  
8 you. We have basically tried to do this the way you  
9 should. I mean to treat Mr. Helliker with respect and to  
10 approach him and try and deal with the situation directly.

11           So up to now we have not gone to Senator Sher in  
12 that sense, and we haven't gone to Winston Hickox. And so  
13 I would still argue that we should continue this process  
14 and things can escalate over time. But at this point it  
15 seems to me that we're still at that level.

16           PANEL MEMBER LANDOLPH: So I think you answered  
17 my question, which was you don't have the impression that  
18 there's any marching orders from higher-up authorities --

19           CHAIRPERSON FROINES: Quite the contrary.

20           Now, you can -- this Panel can recommend that we  
21 take this right to the Secretary right now. I mean there  
22 are lots of options. And so the question is what makes  
23 the most sense. And so far I've been -- made the decision  
24 that the first step was to communicate with the director  
25 of the agency.

1           PANEL MEMBER BLANC: I think that if he answers  
2 you in a timely fashion going forward -- it's already not  
3 in a timely fashion by the --

4           CHAIRPERSON FROINES: It can't be timely.

5           PANEL MEMBER BLANC: But if he answers you well  
6 in advance of the next meeting, then you invite him to  
7 come to the next meeting. If he doesn't answer you, I  
8 think you invite him to the next meeting, and you copy  
9 your invitation to the head of the EPA, and you send the  
10 letter to the EPA saying, "We have invited Mr. Helliker to  
11 the meeting. This is why we're inviting him. We believe  
12 it is imperative that he come to this meeting and he  
13 accept our invitation."

14          CHAIRPERSON FROINES: Everybody comfortable with  
15 that?

16          So I think we've gone as far as we can go on this  
17 topic. And I think it's clear.

18          And I think it's important that we know that we  
19 have this on a transcript, because I think that pesticides  
20 represent some of the most important toxic air  
21 contaminants in California, and so there's a public health  
22 issue here. This is not simply an academic question.  
23 This is a matter of people's lives. And so this -- we  
24 need to -- this needs to be resolved in the long term.

25          Okay. Thank you for that.

1           Let's do formaldehyde first. I think we can do  
2 it rather quickly, Andy.

3           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

4 SALMON: Okay.

5           PANEL MEMBER BLANC: This is not an action item,  
6 is that correct?

7           CHAIRPERSON FROINES: Yes, it is an action item.

8           PANEL MEMBER BLANC: This requires a resolution  
9 on our part?

10          CHAIRPERSON FROINES: No, we're going to end up  
11 basically appointing two leads to pursue the petition in  
12 place. So it's relatively straightforward, as much as  
13 anything there is.

14          (Thereupon an overhead presentation was  
15 Presented as follows.)

16          AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

17 SALMON: We have for you here just a very brief  
18 presentation of the OEHHA response to the petition from  
19 the formaldehyde group. And so I'll hand over to Dr. Dave  
20 Morry to actually run the --

21          CHAIRPERSON FROINES: Just One question before  
22 you start.

23          Dave, are you going to talk about the SRP  
24 procedure that we developed in 1989?

25          STAFF TOXICOLOGIST MORRY: Well, our response is

1 based on comparing the petition with that procedure. But  
2 I won't --

3 CHAIRPERSON FROINES: Well, the reason I ask that  
4 is with Kathy and Joe here, who haven't been part of --

5 PANEL MEMBER HAMMOND: It was written up though.

6 CHAIRPERSON FROINES: Okay. Gary was actually  
7 the lead on benzene some years ago when a petition came in  
8 for reconsideration. So he's up to speed. And I think  
9 Stan's been around so long, if he's not up to speed, we're  
10 not going to worry about it.

11 PANEL MEMBER GLANTZ: I think I invented it.

12 (Laughter.)

13 BOARD MEMBER BLANC: Could the record just show  
14 that Dr. Glantz is leaving.

15 CHAIRPERSON FROINES: Yes. And we still have a  
16 quorum, but Dr. Glantz has left.

17 And so go ahead. Kathy, so you're comfortable,  
18 and Joe, with what you've read about the process?

19 PANEL MEMBER HAMMOND: I feel it's pretty clear  
20 here.

21 CHAIRPERSON FROINES: Okay.

22 PANEL MEMBER LANDOLPH: Yes.

23 STAFF TOXICOLOGIST MORRY: Looking at the first  
24 slide then.

25 --o0o--





1 recommendation is that this petition is premature, that we  
2 don't really have a -- they haven't really met the  
3 criteria for a petition to reconsider the risk assessment  
4 for carcinogenicity formaldehyde.

5 Well, I can answer any questions.

6 PANEL MEMBER FRIEDMAN: There's one thing I -- I  
7 didn't have a chance to read this very carefully, but  
8 there is I think some paper -- was Collins an author of --

9 STAFF TOXICOLOGIST MORRY: Which one are you --  
10 there's two Collins' involved here.

11 PANEL MEMBER FRIEDMAN: I thought that there was  
12 some mention in there that there was a new study by him  
13 that you hadn't seen --

14 STAFF TOXICOLOGIST MORRY: I think that's one of  
15 the three epidemiological studies that I referred to.

16 PANEL MEMBER FRIEDMAN: Oh, that still has not  
17 been published?

18 STAFF TOXICOLOGIST MORRY: Wait, I'm not sure  
19 about this. Collins -- no I think that's a paper that has  
20 been published and I think that was a review of the  
21 epidemiological studies and that it -- I'm not quite sure  
22 about that.

23 PANEL MEMBER BLANC: There's the 2001 study of  
24 adverse pregnancy outcomes. And the other is the updated  
25 med analysis on cancer. So the med analysis would not be

1 new data. It would be --

2 STAFF TOXICOLOGIST MORRY: Yeah, I think the med  
3 analysis is the one that the petition tried to present  
4 that as a, you know, strong argument. And our argument is  
5 it that this is a new analysis of the data, but it's only  
6 a small part of all of the evidence that applies to the  
7 question of identification of formaldehyde as a  
8 carcinogen.

9 CHAIRPERSON FROINES: Jim, has the panel seen my  
10 E-mail with Aaron Blair?

11 PANEL MEMBER BLANC: Yes.

12 CHAIRPERSON FROINES: So you've all seen that.  
13 So I wrote to Aaron asking what the status of these are.  
14 And he's responded that there are -- confirmed the fact  
15 that there are three studies pending. And so there's  
16 no -- one of studies, the NIOSH study, there are some  
17 pre-prints floating round. But it's still not been  
18 published yet and it's not on the web either. So of the  
19 three, we've -- one really doesn't have assess to the data  
20 on any of the three, as far as I know.

21 PANEL MEMBER HAMMOND: Well, the one was in  
22 press. That's why I say maybe that --

23 CHAIRPERSON FROINES: That's this Wes Stainer  
24 study, I think.

25 PANEL MEMBER HAMMOND: No, that was the

1 British --

2 CHAIRPERSON FROINES: Oh, is that right?

3 PANEL MEMBER HAMMOND: That's what it says.

4 CHAIRPERSON FROINES: Oh, that's the one --

5 PANEL MEMBER HAMMOND: So that one maybe we could  
6 get.

7 CHAIRPERSON FROINES: It may be on -- you know --

8 PANEL MEMBER HAMMOND: It makes sense to --

9 CHAIRPERSON FROINES: -- the Environmental Health  
10 puts their studies that are in press on their website. So  
11 it may be possible to find that one.

12 PANEL MEMBER HAMMOND: Well, on the other hand I  
13 think that it certainly makes sense to wait for those  
14 three studies. I just can't see doing anything without  
15 those.

16 CHAIRPERSON FROINES: Although it will raise an  
17 interesting issue, because there are an enormous number of  
18 studies in the literature already. And so it raises a  
19 methodological and philosophical question about what does  
20 one do and what gets one three new studies? Does that  
21 change everything that you've thought about formaldehyde  
22 before because of those three studies, or how does it  
23 influence it? So it's a complicated issue I think. And  
24 we'll see how it turns out.

25 PANEL MEMBER HAMMOND: Actually that to me is a

1 procedural question. I would -- I guess I'd assume that  
2 that would be -- OEHHA would make the initial  
3 determination. And they'd say, "Oh, my golly, you guys.  
4 You have to see this whole new study that changes it." Or  
5 they say, you know, "Just for your information, you might  
6 know this new study that confirms what we've been saying  
7 all along," or "We dismiss it. It looks different, but we  
8 don't think it means anything because it's so badly done,"  
9 or whatever. But I assume OEHHA does that first; is that  
10 right?

11 CHAIRPERSON FROINES: Yes. But I would like --

12 STAFF TOXICOLOGIST MORRY: Well, the  
13 identification of formaldehyde as a carcinogen was based  
14 on IARC and EPA. And what they said is that there's some  
15 evidence for carcinogens sitting in humans, but sufficient  
16 evidence in animals. So the classified as 2A. So the  
17 initial identification of it as a carcinogen does not rest  
18 mainly on the epidemiological data or did not -- does not  
19 rest on the epidemiological data that existed at time,  
20 which was 1992 or something.

21 CHAIRPERSON FROINES: But the OSHA standard gave  
22 great weight to the epidemiological data in that.

23 OEHHA DEPUTY DIRECTOR ALEXEEFF: If I could --  
24 this is George Alexeeff with OEHHA. Just to clarify. As  
25 Dave pointed out and you indicated you saw the basic steps

1 we looked at, there's three major areas that the panel has  
2 asked us to consider when we review these petitions. So  
3 one is: Does the carcinogenicity or the basis for listing  
4 change? And that's not going to change regardless, and  
5 they're not claiming it's changing.

6           So in these three studies, went and changed the  
7 listing, it would still be a TAC and it would still be  
8 a carcinogen. The other one is is there a threshold or  
9 non-threshold issue? That could come into place if one  
10 felt there was some mechanistic issue which claimed that.  
11 I don't think that they're claiming that in this case. So  
12 in this case it's not a threshold issue.

13           So the whole issue's resting on potency. Is it  
14 as potent as the potency that was adopted by this panel,  
15 or is it changing? The model that they submitted looks  
16 very in-depth at the ability to cause carcinogenicity in  
17 the nasal passages of rats, and it's less potency in  
18 humans in the nasal passages, looking at some sort of  
19 concordance.

20           These three studies that are in press, two of  
21 them are discussing the presence of leukemia in the  
22 workers. So the way this could change the way that the  
23 issue plays out is -- and what one would ultimately have  
24 to look at is, does one think that the only type of cancer  
25 of concern in workers is nasopharyngeal and is the model

1 relevant? And then if leukemia is now an issue, is the  
2 model relevant.

3           So those are things that we have to try to  
4 understand. And that's where -- so it plays out really in  
5 the whole potency arena and less in the actual designation  
6 arena.

7           PANEL MEMBER HAMMOND: But my question still is  
8 that, I would understand that the first look at all that's  
9 from OEHHA when that comes to us?

10           OEHHA DEPUTY DIRECTOR ALEXEEFF: Yeah. The  
11 petitions go to the Air Resources Board. And then we look  
12 at them and make a recommendation to the panel. But in  
13 the past also the panel has appointed someone to look at  
14 them concurrently so that when it comes to a head, it can  
15 be discussed, you know, completely and then a decision  
16 made.

17           CHAIRPERSON FROINES: I just wanted to make a  
18 couple of comments.

19           The one place where I would add to what George  
20 said is that the approach taken by CIIT in terms of the  
21 risk assessment has significant risk assessment  
22 implications. It's not your standard approach to risk  
23 assessment. And so there's another issue which will go  
24 way beyond formaldehyde; and, that is, how do we do risk  
25 assessments? And so that one of the issues that we're

1 going to be -- will come into play, which is a -- it is a  
2 complex issue, is how are we going to pursue this  
3 approach, these approaches for risk assessment in the  
4 future, not only for formaldehyde but beyond formaldehyde?  
5 So that there's another major policy and methodologic  
6 issue that we're going to be confronted with in the  
7 future.

8           PANEL MEMBER HAMMOND: But my understanding is  
9 that they haven't provided enough data for you to really  
10 follow through the whole risk assessment; is that correct?

11           STAFF TOXICOLOGIST MORRY: Well, they didn't  
12 provide it with the initial petition. They, you know --  
13 we could -- I've been getting information from them to try  
14 to flesh it out and reproduce it. But it's a very, very  
15 complex model or set of models.

16           CHAIRPERSON FROINES: And on this one, we as a  
17 panel will rely on you folks evaluation of those risk  
18 assessment models from the methodologic standpoint. Given  
19 the nature of the expertise on this panel, we may actually  
20 go outside and ask some friends in the academic community  
21 for their input as well. And so there could be two  
22 processes going on. And as we all know, that there are  
23 some really -- there are people outside who were thinking  
24 about these issues as well.

25           So that at some point there may be a two-pronged

1 approach to this of as we move forward.

2           Andy, were you going to say something?

3           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

4 SALMON: There was one small point I was going to make as  
5 an aside, that, you know, obviously the centerpiece of  
6 this CIIT report is the use of a self-proliferation model.  
7 And it is a large and highly sophisticated one. It's  
8 possibly worth pointing out that in fact the original  
9 OEHHA formaldehyde risk assessment, which you reviewed --  
10 whenever it was. Was it '92?

11           STAFF TOXICOLOGIST MORRY: '92.

12           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

13 SALMON: That one in fact also -- I mean it was a much  
14 less complex model. But it's not as if we completely  
15 ignored the issue and were using one of the old default  
16 straight-line analyses; which I think is one of the  
17 assertions which was in the petition, that we were using  
18 an unmodified traditional default approach, which is not  
19 true. We had already in fact paid considerable attention  
20 to the issues which triggered the CIIT model. And  
21 obviously that we continue to pay attention to those. And  
22 we continue to explore what we can do with them.

23           But I think the panel should understand that this  
24 is perhaps an evolutionary rather than a revolutionary  
25 proposal which they're arguing for.

1 CHAIRPERSON FROINES: Paul.

2 PANEL MEMBER BLANC: John, I'm a little confused.

3 If OEHHA had received this petition and their was  
4 response was as it is but didn't also say, "and also we're  
5 waiting for more data," then I think what you would be  
6 saying is that then there would be someone from the panel  
7 would be the lead of the viewing both the original  
8 petition and OEHHA's comments on the petition, and then we  
9 would at a future meeting bring the matter to closure.  
10 But since they're saying, "and also we await these three  
11 other studies to review, then are we saying that, first,  
12 we need the see OEHHA's follow-up on those three studies  
13 as well as an addendum to this memorandum that they have  
14 prepared in response to the petition and at that point  
15 there would be review here in OEHHA or are we going to  
16 review it twice, once based on what they've written now  
17 and then again based on what they say on the three  
18 studies?

19 CHAIRPERSON FROINES: Well here's what I think.  
20 I had one concern, George and Dave, about your review and  
21 that was it focused on more on procedural issues, the  
22 adequacy of peer review and so on and so forth. And your  
23 review did not go into an in-depth scientific evaluation  
24 of the literature. So as far as I'm concerned, from this  
25 panel's standpoint, we want to both deal with your

1 assertions and arguments about the procedural issues, as  
2 well as we want to look -- I think we want to look at the  
3 science around which the petition was based.

4           And so I would like to appoint two leads at this  
5 stage who could begin the process of looking into the  
6 formaldehyde science that underlies the basis of the  
7 petition. And as we get the epi and further evaluation,  
8 then that can be -- the preparation that goes on now can  
9 be added to in the future for the leads.

10           And so what I hear you saying is why don't we not  
11 appoint leads now, but do it later. And I would prefer to  
12 appoint leads now so we can begin to look at these  
13 scientific issues underpinning the petition.

14           PANEL MEMBER BLANC: I still think it may be a  
15 little bit immature because basically I don't know -- we  
16 haven't had people independently looking at the scientific  
17 issues before there's been some initial digestion of it by  
18 OEHHA. And if you're saying that OEHHA hasn't really  
19 address the content of this self-proliferation and other  
20 issues related to this risk assessment, then how is it  
21 that the lead is supposed to comment on whether OEHHA --

22           CHAIRPERSON FROINES: No, the lead is just to  
23 begin the process. For us -- we're going to have to do it  
24 anyway.

25           PANEL MEMBER BLANC: Why?

1           CHAIRPERSON FROINES: Because in the end the  
2 Panel has to make the finding. Gary wrote a letter that  
3 said there was no new information and we should -- it  
4 should not go forward. And so the Panel makes the  
5 ultimate determination in a recommendation to the to the  
6 heads of ARB. So we're going to have to do it -- the  
7 panel has to make the determination. And all I'm arguing  
8 for is we can wait until more information comes in or we  
9 can assign some leads now who can get started and have the  
10 process develop over time. And I can go either way. But  
11 I would prefer to start it now because I think  
12 formaldehyde is a -- is a difficult issue. I think that  
13 the leukemia data that's going to come in is going to  
14 be -- is going to end up being complicated. And so the  
15 degree to which we can have a couple people who started to  
16 think about this issue early on I think it would be  
17 advantageous. If nobody agrees with that and everybody  
18 would like to wait, then we can do that too.

19           PANEL MEMBER LANDOLPH: Well, you know, I read  
20 this, and I agree with OEHHA's comments. I guess some  
21 things in hear bothered me -- and I agree with Dr. Morry.  
22 I would like to see CIIT publish in the open scientific  
23 peer review literature whether parts of their model are  
24 crucial to that risk assessment. I also have to declare a  
25 conflict of interest as I sit on the SAB, Scientific

1 Advisory Board for a couple of years. I think EPA's name  
2 has been used a lot in here. I'm not sure that the  
3 statements are here represent EPA's position. I would  
4 like to see a letter. And I might suggest you write to  
5 EPA and ask them what is their precise scientific position  
6 at this point in time. Because I think their name is  
7 being used. And I'm not certain that that represents  
8 their position. I think there's some overreaching or  
9 imputation to EPA of positions which they haven't  
10 solidified yet. And that bothered me a little bit in  
11 here.

12 CHAIRPERSON FROINES: We can pursue that. But I  
13 should say that there are a number of journals out there.  
14 I don't think CIIT's going to have any problem getting  
15 this in the peer review literature. I think anybody who  
16 says that this approach isn't going to make it in the peer  
17 review literature doesn't understand the current status of  
18 the referee journal process.

19 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
20 SALMON: In fact the -- I mean the de-position model which  
21 they use is published. And our point in the comment was  
22 that in fact it's the sole proliferation model which is  
23 crucial to the conclusions of the risk assessment. And  
24 certainly there's a substantial literature of models of  
25 this type. But for whatever reason, you know, up to the

1 present time that crucial element of the proposal hasn't  
2 been published in the open literature and subject to full  
3 discussion.

4           And the other thing is I think that -- we have  
5 had some discussions with EPA about exactly where they are  
6 on the process obviously. And it's rather common  
7 knowledge that they've had a team led by Dr. Jerabeck,  
8 which has been working with CIIT on this issues for some  
9 considerable amount of time. They have been conspicuously  
10 noncommittal about making any conclusions, and up to the  
11 present time, on the basis of their consideration of the  
12 CIIT model. And, in fact, I think I right in saying, it  
13 was Dr. Jerabeck who pointed out to us the existence of  
14 these forthcoming new publications and implied that their  
15 consideration of the formaldehyde situation, you know, was  
16 basically that they were waiting to see what came out of  
17 these investigations. Because they thought that those  
18 would have a very major impact on the way they looked at  
19 the whole situation, including their view of the status of  
20 the CIIT model.

21           CHAIRPERSON FROINES: How would you answer,  
22 Andy -- how would you answer Joe's question about whether  
23 EPA considers what's been done a, quote, peer review  
24 document or not? I mean I've oversimplified it, but I  
25 think that's --

1           OEHHA DEPUTY DIRECTOR ALEXEEFF: Well, actually  
2 I've been the one discussing it with U.S. EPA. And they  
3 were close to completing their reevaluation of  
4 formaldehyde for their iris process. And they were at  
5 that point planning on using this model as part of the  
6 process. But the draft I guess has not yet come out. But  
7 that's been their inclination. So they have now put that  
8 on hold pending the review of these documents, these epi  
9 studies.

10           CHAIRPERSON FROINES: But you're suggesting that  
11 they are at least as far as you know comfortable with this  
12 methodologic approach?

13           OEHHA DEPUTY DIRECTOR ALEXEEFF: Well I think  
14 the -- U.S. EPA has done a lot in this area. In fact when  
15 we went back to methylene chloride where we first were  
16 using from kinetic models and things like that, and U.S.  
17 EPA had gone a certain extent and we had gone not as far  
18 in terms of how many models we wanted to use. And the  
19 Panel adopted -- you know, came up with an approach where  
20 we did not incorporate as many models as U.S. EPA had  
21 incorporated. So they've been very much on the forefront  
22 of using these extra models.

23           But suffice it to say, it would be helpful to  
24 have an SRP member or two as a lead at this time. There's  
25 several issues that we've brought up here. One is the

1 panel made a major statement when they wanted us to only  
2 open the process in terms of peer review information. It  
3 did not want us to bring non-peer reviewed information as  
4 a basis for opening up a chemical back to the Panel. So  
5 it is a big issue.

6           Now, in this case the model's extremely  
7 complicated. So to publish it would probably have to  
8 require several publications on different -- you know,  
9 each of the components of the model, how they work  
10 together. It would be useful to have some input from a  
11 panel member or two as to how much peer review is required  
12 in order to consider the model published, as we continue  
13 to try to understand the model and even -- and validate  
14 the model so we can reproduce the model.

15           So one issue is that procedural issue right off  
16 the bat. And since it will be complicated, it would  
17 probably be worthwhile to have someone give us their  
18 input.

19           CHAIRPERSON FROINES: Well -- so I agree with  
20 you. I don't agree with Paul. Because I think that this  
21 is a sufficiently complicated process that's underway, and  
22 it's going to have a number of -- there are a number  
23 different issues involved and they're all in a number of  
24 different stages. And so having some person or persons  
25 from the SRP assigned just to get involved at this stage I

1 think within the long run going to be beneficial. And so  
2 I would argue that we appoint two people to serve as leads  
3 at this stage, and we can -- you can expand that if we  
4 felt that it was necessary.

5           OEHHA DEPUTY DIRECTOR ALEXEEFF: We've already  
6 made a tentative recommendation to the Air Resources Board  
7 to deny the petition. I mean you already -- that's what  
8 we've written here already. So at one point we kind of  
9 complete our view. At the same point we've kept the door  
10 open simply because we would like to understand more about  
11 this model. But it might require them to resubmit a  
12 petition at that time and say, "Okay, here's our new  
13 package with all the documentation."

14           So in one sense --

15           CHAIRPERSON FROINES: Are you willing to accept  
16 the Chair's --

17           PANEL MEMBER BLANC: Sure. I'm reassured by what  
18 you said. Just my trepidation was that somehow by the  
19 back door you were denying the petition, and you were  
20 forcing me to accept the petition by de facto at the  
21 beginning of the process of re-reviewing the entire basis.

22           CHAIRPERSON FROINES: No, we have to --

23           PANEL MEMBER BLANC: So as long as you're saying  
24 this is what you want, I mean we're comfortable with it.

25           CHAIRPERSON FROINES: And at this point what --

1 I'll tell you who I would like to have as the two leads.  
2 And I would certainly be open to changing my perspective  
3 on it. One of whom I -- since I was the lead person in  
4 1992 on formaldehyde, I think I would be the lead person  
5 now. Not because I really want to, but because I think I  
6 have the history in this compound.

7           The second person I think should be the lead is  
8 Joe. The fact he's on the SAB is absolutely not a  
9 conflict of interest, just because you are one of many  
10 millions of people interacting with EPA. I have funding  
11 from EPA, you know, doesn't consider.

12           And what I'd like to do is have toxicology people  
13 representing the leads at this point because that goes  
14 more directly to some of the risk assessment.

15           But I'd like to also ask Gary, is as the new epi  
16 comes in, if he would work with Joe and me to review the  
17 epidemiologic studies.

18           PANEL MEMBER FRIEDMAN: Yeah, that's what I was  
19 thinking, that in terms of modeling, it's not -- I don't  
20 have the expertise. But when those three studies come in  
21 and have evidence about leukemia, why I'd be happy to get  
22 involved at that point.

23           CHAIRPERSON FROINES: So I think that at this  
24 point if Joe -- Joe hasn't stood up screaming no.

25           PANEL MEMBER LANDOLPH: What does that involve

1 what you need me to do?

2 CHAIRPERSON FROINES: Well, I'll work with you  
3 off-line. It takes a couple pints of blood and --

4 PANEL MEMBER LANDOLPH: No blood.

5 (Laughter.)

6 CHAIRPERSON FROINES: But those of us who have  
7 been leads have actually survived the process.

8 What it does is it means that we work to some  
9 extent with OEHHA as this process develops so that we --  
10 when it comes to the panel, when the panel ultimately  
11 gives its evaluation, there has been some interaction.  
12 Although, we have to -- ours has to clearly be independent  
13 since we make the final determination. But there can be  
14 some interaction and that's basically what happens.

15 So, George, I think that's what we'll do. And I  
16 think Gary will be the lead then on the three epi studies  
17 as they come in. And I don't know -- is there any other  
18 epi on formaldehyde that we're -- clearly we don't need to  
19 worry about non-cancer effects because the petition  
20 doesn't really address that.

21 So I think it's just the three cancers --

22 OEHHA DEPUTY DIRECTOR ALEXEEFF: I think it would  
23 be helpful to us if Dr. Friedman could look at the new  
24 studies in the context of the existing information.  
25 Because, as I indicated, part of the question is the

1 concordance between the animals and the humans and to get  
2 a sense as to, you know, is formaldehyde acting in a very  
3 specific manner and a very specific location in tissues in  
4 humans and animals, or is it more generalized or is it  
5 so -- it might be helpful to look at some of the other  
6 evidence that also leads up to that, at least in terms of  
7 an IARC review or --

8 CHAIRPERSON FROINES: Okay. Somebody said we get  
9 a '95 IARC review as a starting point.

10 PANEL MEMBER FRIEDMAN: Yeah, could you send me  
11 the material you'd like me to do that.

12 OEHHA DEPUTY DIRECTOR ALEXEEFF: When we get the  
13 studies we'll provide you -- you know, some review of the  
14 past information that's available in the literature and  
15 then the additional studies.

16 PANEL MEMBER FRIEDMAN: Okay.

17 PANEL MEMBER LANDOLPH: If you could send me some  
18 of that too, that would be helpful to review.

19 CHAIRPERSON FROINES: You and I can actually meet  
20 and -- in fact, formaldehyde is one of the chemicals in my  
21 risk assessment course, so that you can even come to the  
22 risk assessment course and we'll give you a grade.

23 (Laughter.)

24 PANEL MEMBER LANDOLPH: I'd prefer lunch.

25 (Laughter.)

1           CHAIRPERSON FROINES: In fact your first test to  
2 be you lead the class on formaldehyde.

3           Anyway. Okay.

4           Onward and upward to fluoride.

5           That was very useful. In fact all these topics  
6 so far have gone reasonable smoothly.

7           Our job is to review this document to determine  
8 first -- for Joe and Kathy, can I just read before you  
9 start what our job is.

10          The language says:

11          "If the Scientific Review Panel determines that  
12 the health effects report is not based on sound scientific  
13 knowledge, methods or practices, the report shall be  
14 returned to the State Board and the State Board in  
15 consultation and with the participation of the office  
16 shall prepare revisions of the report which shall be  
17 resubmitted within 30 days following receipt of the  
18 panel's determination."

19          So we are making a judgment on whether or not the  
20 report has sound scientific knowledge, methods or  
21 practices. And if we don't think so, we return it to the  
22 agency. But for minor changes we can approve it,  
23 recognizing that those minor changes will be incorporated.

24          PANEL MEMBER HAMMOND: But this would go into  
25 effect if we approve it?

1 CHAIRPERSON FROINES: That's correct.

2 (Thereupon an overhead presentation was  
3 Presented as follows.)

4 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

5 SALMON: Well, this item is your further consideration of  
6 a chronic reference exposure level for fluorides, which  
7 will be part of the Air Toxics Hotspots Program's risk  
8 assessment guidance.

9 I'll start with a very brief introduction to the  
10 program for the benefit of the new members.

11 --o0o--

12 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

13 SALMON: OEHHA has developed guidelines for use under the  
14 Hotspots Risk Assessment Program. And the way this works  
15 is that OEHHA has prepared these risk assessment guidance  
16 documents. And there are also some supporting tools such  
17 as a software program which is being developed by the Air  
18 Resources Board.

19 And then the actual risk management activities  
20 under the Hotspots Program of course are taken by the  
21 local air districts -- the air pollution control districts  
22 are the risk managers for this program. And the objective  
23 of this program is to regulate risks caused by point  
24 sources of emissions of toxic chemicals.

25 The chemicals which are included are anything

1 which is a toxic air contaminant, plus a certain number of  
2 other items which were previously identified by various  
3 mechanisms, including previous deliberations by CAPCO,  
4 which is basically a cooperative body that includes the  
5 air districts -- or the air pollution control officers.

6 --o0o--

7 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

8 SALMON: Anyway, the guidelines which we developed  
9 included a list of acute reference exposure levels, a list  
10 of cancer potency values, a list of chronic reference  
11 exposure levels, and then a manual on exposure assessment  
12 methodology. And then there's also a final manual which  
13 is a summary of the more detailed information on the first  
14 four parts.

15 --o0o--

16 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

17 SALMON: The chronic reference exposure levels are health  
18 protective levels -- excuse me, this thing's  
19 misbehaving -- includes -- these are used to assess  
20 chronic non-cancer health effects. And a chronic  
21 reference exposure level is defined as a concentration in  
22 air at or below which no adverse health effects are  
23 anticipated following long-term exposure.

24 Once we emphasized that chronic reference  
25 exposure is designed to be a safe level, not an effect



1 several meetings ago, but has been subject to various  
2 discussions, improvement, and modifications. And this  
3 basically is a revisiting of this summary following our  
4 changes in response to your earlier comments and  
5 suggestions.

6 --o0o--

7 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

8 SALMON: This derivation uses a benchmark dose  
9 concentration approach to derive the chronic reference  
10 exposure level. That was in fact on an epidemiological  
11 study. We also updated the literature review to include  
12 additional animal toxicity endpoints for comparison. And  
13 we have made a number of changes in response to comments  
14 at previous meetings.

15 --o0o--

16 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

17 SALMON: The basis of the derivation is an epidemiological  
18 study of fertilizer plant workers. We include details  
19 here of the derivation. The basis is the benchmark that  
20 is concentration. We adjust for exposure continuity.

21 --o0o--

22 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

23 SALMON: We include an intraspecies uncertainty factor of  
24 10 to allow for the fact that the study population is an  
25 occupational cohort and that the target population for



1 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

2 SALMON: And these are basically summarized in a very  
3 extensive NRC report in 1993. And they have a number of  
4 original data sources there as well.

5 --o0o--

6 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

7 SALMON: Study populations included the general  
8 populations of several United States cities. And the  
9 suggestion there is that there should be a chronic oral  
10 reference exposure level of 0.04 milligrams per kilogram  
11 day. And in this particular case the study population did  
12 include children who are probably the sensitive  
13 subpopulation for this endpoint, which is dental  
14 fluorosis.

15 --o0o--

16 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

17 SALMON: The comments which we have addressed in the  
18 recent changes are additional uses in sources of fluoride  
19 and hydrogen fluoride are described in the toxicity  
20 summary. We also refer to a recent draft toxicological  
21 profile which was published by ATSDR. We mentioned some  
22 recent data indicating animal reproductive and nervous  
23 system effects. We address the issues of inter-individual  
24 variation in fluoride intake and background fluoridation.  
25 We haven't in fact got a systematic modification of the

1 reference exposure levels to address that. But we point  
2 out that these need to be considered when determining the  
3 impact in the multimedia risk assessments.

4 --o0o--

5 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

6 SALMON: And that's basically it.

7 DR. COLLINS: I'd like to make a comment. Jim  
8 Collins.

9 The recommended REL is on page 9 of this.  
10 Actually the slide was miscopied from an earlier  
11 presentation. But on page 9 of the updated document is  
12 our recommended chronic reference exposure level.

13 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

14 SALMON: Sorry about that. Please look at page 9, not the  
15 slide.

16 So, anyway, the Panel's had quite extensive  
17 discussion of a number of aspects of this. But obviously  
18 we particularly like to hear whether you feel that we've  
19 addressed your earlier comments and request for changes.

20 PANEL MEMBER BLANC: Well, I have a technical  
21 question to start with.

22 You refer to changes in the document reflecting  
23 the previous discussion are underlined.

24 And I doubt that the version we've received  
25 actually has those underlined since there's very few

1 underlines and --

2 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

3 SALMON: Yeah, I think that may -- we may have --

4 PANEL MEMBER BLANC: Sorry. I know it's a  
5 technical problem. But it just makes it a little bit hard  
6 to track the changes that you've made.

7 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

8 SALMON: Yes, unfortunately I think one of the things  
9 that's happened is that the -- that there's been so many  
10 generations of changes that we are finding it difficult to  
11 illustrate those accurately. The changes which were made  
12 in response -- Jim do you want to -- can you summarize --

13 DR. COLLINS: I have lined copy, which has a lot  
14 of stuff. Which if you'd like to see since you're the one  
15 that made many of the comments that we needed to address.

16 On page -- I hope it's the same page -- 11, as  
17 noted, the paragraph --

18 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

19 SALMON: I think we have a different -- what's the heading  
20 number, Jim?

21 DR. COLLINS: "As noted" -- there's a long  
22 paragraph that starts "As noted" on page 11.

23 PANEL MEMBER BLANC: Yeah.

24 DR. COLLINS: That was in response to your thing  
25 about you thought that maybe we ought to lower the chronic

1 REL because there were other sources of fluoride. So this  
2 is our response to that.

3 Plus there was some comment about plotting not  
4 just exposure versus -- I'm sorry -- fluoride  
5 concentration versus getting density change for fluoride  
6 concentration times the year -- number of years. And when  
7 Andy did that, he found out that he could not really get a  
8 good fit for any of the models. Although the number you  
9 would come out with is close to what we ended up  
10 recommending.

11 So that whole paragraph was added in response to  
12 those kind of questions.

13 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
14 SALMON: I think the point there was that Derry Berry and  
15 the earlier analyses of the study both relied on the  
16 observation that basically the most useful exposure  
17 measure was a concentration measure rather than an  
18 exposure times time measure. And this seems to be a  
19 feature of the data. Dr. Blanc suggested that we ought to  
20 at least look at and examine more closely whether we could  
21 use, you know, a dose-time-integral dose measure and get a  
22 better estimate from that.

23 So we actually did that analysis and confirmed  
24 our earlier statistical treatment which says that  
25 basically there's too many other confounding issues on the

1 available exposure parameters, and particularly the  
2 changes in the endpoint with passage of time and age and  
3 things like that.

4           For some reason we can't really do a good  
5 analysis based on the dose time integral. But we did go a  
6 little bit further in trying to do that and we sort of  
7 got -- it didn't work, but it suggested that if it had  
8 worked it would have produced about the same answer as the  
9 analysis we did use. I think that's how I would describe  
10 it.

11           PANEL MEMBER BLANC: Let me ask the question  
12 algebraically in a different way maybe just so I'm  
13 reassured that this analysis that you did addresses  
14 question.

15           You have a group of workers exposed to the  
16 airborne levels of fluoride, and you show that there's a  
17 dose response with higher levels of airborne fluoride  
18 exposure and a tendency towards more fluorosis of the  
19 bones. That's basically -- and there's a slope that you  
20 show, like this. And you calculate a benchmark, no effect  
21 dose. That is to say the airborne level which wouldn't  
22 give you any fluorosis essentially, right?

23           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
24 SALMON: Right.

25           PANEL MEMBER BLANC: And that assumes that the

1 intercept is -- that there's a zero zero intercept, but  
2 actually the intercept is somewhere above zero.

3           Does it matter -- I think we're safe to assume  
4 that these workers didn't grow up with fluoridated water  
5 systems. Does it matter in your calculation of your  
6 benchmark dose if you have a population which has an  
7 intercept which is different because their baseline  
8 fluoride exposure is higher by water because of public  
9 health reasons -- if you're using the slope, are you  
10 immune from an effect of being not conservative enough in  
11 calculating your intercept based on the data and  
12 population which you didn't have baseline oral fluoride  
13 exposure of a significant degree or not?

14           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF  
15 SALMON: We think that -- we think that it would be  
16 appropriate to take into account -- if you had a  
17 population with an exceptionally high background oral  
18 exposure through drinking water, you might want to take a  
19 cautious approach to any exceedance of this reference  
20 exposure level. In other words I'm saying in the extreme  
21 case, no, we wouldn't be conservative enough, but most of  
22 the time we would be fine.

23           PANEL MEMBER BLANC: And why is it that you would  
24 be fine? Because isn't the level, when water is  
25 intentionally supplemented with fluoride, considerably

1 higher --

2 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

3 SALMON: Well, the actual -- the water level, for instance  
4 as used in a public health goal, actually does use a  
5 relative source conjugation calculation. And so, you  
6 know -- I mean there's allowance for the fact that there  
7 is other sources of fluoride besides food. And there's  
8 also a question of how much fluoride you're actually  
9 putting in at the benchmark dose level, which, remember,  
10 is a null effect level in this study. We're not making,  
11 you know, a big contribution to the amount of fluoride.  
12 The issue of if there's a large background mainly relates  
13 to the question of the oral reference exposure level.

14 PANEL MEMBER BLANC: Did you follow that?

15 CHAIRPERSON FROINES: I followed the last part  
16 that was -- but I didn't follow the first part.

17 PANEL MEMBER BLANC: Kathy, do you -- am I --

18 PANEL MEMBER HAMMOND: Let me see if I can  
19 restate this. And then I'll know. I'll be able to answer  
20 whether I followed it.

21 And this is actually a step further back. Okay?

22 You're trying -- in this whole document you're  
23 trying to address the total exposure. And where the Air  
24 Resources Board comes in is because airborne fluoride can  
25 deposit on crops and lead to ingestion exposure?

1 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

2 SALMON: Well, that's a small part of it.

3 PANEL MEMBER HAMMOND: Ingestion route?

4 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

5 SALMON: Yes.

6 The main concern is the inhalation route.

7 PANEL MEMBER HAMMOND: Oh it remains

8 inhalation --

9 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

10 SALMON: The main concern is the inhalation route. But

11 because there is the possibility that some

12 fluoride-containing materials, which would be solid

13 fluoride salts, you know, after they've been emitted might

14 sediment out, it's necessary to have an auxiliary level,

15 which is the oral level, to feed into a multimedia risk

16 assessment methodology, which is specified in the

17 guidelines.

18 PANEL MEMBER HAMMOND: So a total exposure would

19 be inhalation, plus ingestion from food, plus ingestion

20 from water?

21 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

22 SALMON: Yes.

23 PANEL MEMBER HAMMOND: And because ingestion from

24 water is a given, regardless, for other public health

25 reasons --

1 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

2 SALMON: Yes.

3 PANEL MEMBER HAMMOND: I guess less in California  
4 than elsewhere. But I guess in some places; is that  
5 right?

6 Anyhow, there is ingestion from water from  
7 California?

8 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

9 SALMON: Oh, yes.

10 PANEL MEMBER HAMMOND: So you have that as a  
11 given.

12 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

13 SALMON: Yes. But it --

14 PANEL MEMBER HAMMOND: So that reduces your  
15 margin for how much you can allow inhalation?

16 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

17 SALMON: But the inhalation study population that was used  
18 were drinking water that contains fluoride. It may or may  
19 not have been --

20 PANEL MEMBER HAMMOND: The --

21 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

22 SALMON: But everybody's water contains --

23 PANEL MEMBER HAMMOND: No, no. But this is '63.

24 PANEL MEMBER BLANC: In 1963?

25 PANEL MEMBER HAMMOND: It was '63, Derry Berry.

1 Is this Derry Berry you're talking --

2 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

3 SALMON: Yes. But I mean there are -- there always have  
4 been natural abundances of fluoride.

5 PANEL MEMBER HAMMOND: Only in certain places.

6 Was this place -- was this area --

7 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

8 SALMON: It's only in certain places --

9 CHAIRPERSON FROINES: We're about to vote in  
10 Santa Monica whether to fluorinate our water. So it's  
11 not --

12 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

13 SALMON: Yeah, but it's only in certain places that the  
14 natural abundance is up to the level of the  
15 supplementation. But there are a lot of places where  
16 it's -- you know, it's some fraction of that.

17 PANEL MEMBER HAMMOND: But you could look -- this  
18 is an occupational exposure.

19 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

20 SALMON: Yes.

21 PANEL MEMBER HAMMOND: So it's in a location, a  
22 geographic location. You could look, does geographic  
23 location have high fluoride naturally or not? Rather than  
24 just speculate. One doesn't need to speculate about that,  
25 right?

1 DR. COLLINS: Yeah, I think the Tennessee Valley  
2 Authority had -- those people were working, so we can find  
3 out --

4 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

5 SALMON: Yeah, that's what it was --

6 PANEL MEMBER HAMMOND: But I think we shouldn't  
7 assume that they have -- the current average level of  
8 fluorination for the country is not what should be  
9 assumed.

10 OEHHA DEPUTY DIRECTOR ALEXEEFF: Yeah --

11 PANEL MEMBER HAMMOND: So I think the answer,  
12 Paul, is, no, I don't follow it.

13 CHAIRPERSON FROINES: Well, I think the answer,  
14 if I understand it, is that we have no way to estimate  
15 what the oral exposure to fluoride was in that study.

16 PANEL MEMBER HAMMOND: Well, you could estimate  
17 it because you could --

18 CHAIRPERSON FROINES: Based on --

19 PANEL MEMBER HAMMOND: There is geological data  
20 whether this fluoride naturally --

21 CHAIRPERSON FROINES: No, no. But I'm saying  
22 that based on what we currently have, without going back  
23 to do a further study, we don't have any estimate of the  
24 fluoride.

25 PANEL MEMBER HAMMOND: I mean I think that most

1 areas of the U.S. were considered to have very low levels  
2 of fluoride in the water naturally and only occasionally  
3 very specific places. Some place in Texas, you know,  
4 and --

5 PANEL MEMBER BLANC: Let me go back to the  
6 question because I partly -- all right. Partly I didn't  
7 have the benefit of the underlining. But the "as noted"  
8 paragraph on page 11, which was written I think in  
9 faithful response to the comments that were made here the  
10 last time this came up, may reflect my inability to  
11 express appropriately what -- completely what the question  
12 was. And part of it had to do with the -- I don't even  
13 remember all the details, especially about the time issues  
14 and all that. But the other issue, which I'm still trying  
15 to grapple with, is -- you have figure one on page 10,  
16 right?

17 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

18 SALMON: Yes.

19 PANEL MEMBER BLANC: Okay. Now, figure one on  
20 page 10 reflects the dose response for the bone changes?

21 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

22 SALMON: Right.

23 PANEL MEMBER BLANC: And your benchmark  
24 calculation?

25 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

1 SALMON: Yes.

2 PANEL MEMBER BLANC: And I'm going to assume that  
3 these people had virtually no fluoride in their drinking  
4 water or minimal. If they had -- had they worked in an  
5 area that had standard supplemental fluoride to their  
6 water, which is a condition that would describe a healthy  
7 portion of the U.S. population, would not that curve have  
8 been shifted to the left? Wouldn't the data have shown  
9 that -- wouldn't it have appeared as if lower levels of  
10 airborne exposure gave you bone changes because of --

11 DR. COLLINS: Probably.

12 PANEL MEMBER BLANC: Wouldn't that change your  
13 benchmark calculation?

14 DR. COLLINS: It might. My understanding is  
15 these were really minimal changes in these workers.

16 PANEL MEMBER BLANC: Yeah, but that's what you  
17 used as your significant and/or -- effect. Wasn't that  
18 what you used for your --

19 DR. COLLINS: It was minimal during --

20 PANEL MEMBER BLANC: -- for your outcome?

21 DR. COLLINS: -- minimal change, yeah.

22 PANEL MEMBER BLANC: Because that was what you  
23 used as your outcome measure?

24 DR. COLLINS: Right.

25 PANEL MEMBER BLANC: So you're not saying that

1 that's not a valid outcome measure?

2 DR. COLLINS: No, no.

3 PANEL MEMBER BLANC: So is there a way using  
4 available data of hypothesizing what the shift of this  
5 curve would be were they to have not high levels of oral  
6 fluoride but sort of standard current U.S. population  
7 oral --

8 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

9 SALMON: Yeah, we've -- I think we've -- George, you were  
10 doing --

11 PANEL MEMBER BLANC: Or having done that, I just  
12 don't understand that you did do that.

13 OEHHA DEPUTY DIRECTOR ALEXEEFF: You know, it  
14 wasn't done. But it's -- this is George Alexeeff.

15 There's a couple of issues. And, Jim, you can --  
16 or, Andy, you can correct me if I've got this wrong. But  
17 basically, okay, you have the dose response curve  
18 developed from the worker study. So like the low REL, the  
19 lowest exposure level was 18.9 milligrams per cubic meter.  
20 That's what -- so if you assume the person breathed at 10  
21 cubic meters a day, then the person took up 18.9  
22 milligrams of fluoride per day. Okay, inhaled that much,  
23 let's say.

24 Now, on the drinking water side though it's one  
25 part per million. And if you assume that's one milligram

1 per liter, you drink two liters a day. So that basically  
2 would be two milligrams per day of water.

3           So at least on the worker's side I think in the  
4 initial part of this analysis on this curve, the worker  
5 exposure would dominate an oral exposure if it's not a  
6 really high oral exposure.

7           But now when we get down to the extrapolation,  
8 now the water exposure is dominating the total exposure  
9 when we get down to the level that we're proposing as our  
10 reference level.

11           So I think you're right, the water exposure would  
12 shift it over. It would add to it. It would not add it a  
13 lot at the top end of the curve from where we're  
14 extrapolating from.

15           PANEL MEMBER BLANC: And, therefore, would it  
16 have changed the benchmark algebraically? I mean I'm  
17 not -- I don't think the answer --

18           OEHHA DEPUTY DIRECTOR ALEXEEFF: It probably  
19 would have. We haven't done the calculation. I guess one  
20 could estimate -- you know, sort of assume a certain  
21 amount of exposure, do a calculation, change it slightly.

22           I don't know. If you added one or two more  
23 milligrams to the top of a scale, Andy, you've done --  
24 would you think that would change the benchmark  
25 dramatically or -- if it was 20 instead of 18 at the

1 lower --

2           PANEL MEMBER HAMMOND: I'm not sure actually --  
3 I'm not sure that's the right direction you want to go.  
4 Because I think the assumption, Paul, is that these people  
5 were not exposed. So this is a -- the curve is correct.  
6 The question is -- if you were protecting workers, then  
7 you'd be concerned about their background. But I think  
8 you can interpret this as if you -- you can interpret this  
9 as being a total fluoride intake problem, right? So the  
10 curve would be correct in terms of saying what your  
11 benchmark dose is for fluoride intake.

12           The question now would be to apply it today is  
13 the bare multiple sources. But if we assume these people  
14 had no fluoride in their water, then the curve doesn't  
15 shift. It doesn't matter. And this is a good curve.

16           PANEL MEMBER BLANC: I'm not saying the curve  
17 isn't good for the population that we're --

18           PANEL MEMBER HAMMOND: Right.

19           PANEL MEMBER BLANC: But they're extrapolating a  
20 benchmark dose.

21           PANEL MEMBER HAMMOND: Well, does the -- but I'm  
22 going to assume the benchmark dose is taking into account  
23 the fluoride in the water?

24           PANEL MEMBER BLANC: No, it doesn't.

25           PANEL MEMBER HAMMOND: You don't have to move the

1 curve. But what you have to do is think of it that the  
2 benchmark dose does take that into account. I agree with  
3 that.

4 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

5 SALMON: The multimedia risk assessment which would be  
6 required, yeah, should if it was well done take into  
7 account all the different sources, including not only  
8 drinking water, but also dietary. That's how the  
9 multimedia risk assessment is supposed to work.

10 I think there's an issue here in that many people  
11 under the Hotspots Program, and even when it's suggested  
12 it might be a good idea, would perhaps not necessarily do  
13 the -- you know, the full dress multimedia risk assessment  
14 that would look at the possibility that some individuals  
15 would have higher versus lower fluoride intake.

16 On the other hand, we do have an uncertainty  
17 factor built in -- you know, safety factor, if you like --  
18 which is explicitly designed to cover, quote-unquote,  
19 inter-individual variability. And that includes  
20 inter-individual variability in, you know, other exposures  
21 and sources as well as sensitivity --

22 PANEL MEMBER HAMMOND: But in looking -- and when  
23 you come up with your REL, I think the question that  
24 Paul's getting at -- notice my question much earlier, is:  
25 Did you make an assumption that people were drinking

1 fluoridated water?

2 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

3 SALMON: No, we didn't make that assumption.

4 PANEL MEMBER HAMMOND: See, I guess I would have  
5 thought that the assumption should be people are drinking  
6 fluoridated water and that how much more fluoride can they  
7 get to get to the same point on this curve, which is a  
8 different way of phrasing --

9 OEHHA DEPUTY DIRECTOR ALEXEEFF: Saying the same  
10 question --

11 PANEL MEMBER HAMMOND: -- the same concern,  
12 right.

13 But I would have just taken -- a curve is okay,  
14 but there's an underlying background exposure. Now, how  
15 much can you add to it with airborne exposure? But the  
16 REL should take into account an assumption of fluoridated  
17 water --

18 PANEL MEMBER BLANC: See, you're in an odd  
19 situation. I mean this is an unusual situation in that  
20 the timeframe of the air exposure data that you're using  
21 is at a timeframe and of a population which the human  
22 condition is changed somewhat. Now, you can -- maybe the  
23 argument is that your 10-fold safety factor takes that  
24 into account sufficiently. Maybe the argument would be,  
25 okay, for the purposes of hypothesis testing we have

1 redone this, throwing in: Suppose they had had a baseline  
2 fluoride level that it was this much higher and the curve  
3 was shifted towards -- would have implicated slightly  
4 greater sensitivity if we assumed the same slope but a  
5 different baseline and it would trivially change our  
6 benchmark calculation.

7           What I was -- the whole drinking water discussion  
8 the last time around was really I think trying to get at  
9 that question even if it wasn't expressed from our side  
10 clearly enough. And this is a really unusual situation.  
11 If this was occupational data that was from the 1990s,  
12 then it wouldn't matter.

13           CHAIRPERSON FROINES: But what was the  
14 interspecies uncertainty factor again?

15           OEHHA DEPUTY DIRECTOR ALEXEEFF: No, it wasn't  
16 inter. Intra.

17           CHAIRPERSON FROINES: Infra. That's what I  
18 meant.

19           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

20 SALMON: Ten, yes.

21           CHAIRPERSON FROINES: I know what it is. I want  
22 to know what it is attempting to address.

23           AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

24 SALMON: Well, variations in sensitivity between  
25 individuals from any source whether as a result of

1 individual constitutional differences or differences of  
2 exposure or prior experience or whatever.

3 CHAIRPERSON FROINES: This is an intraspecies?

4 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

5 SALMON: Yes. So there's differences between different  
6 individual human beings in exposed population is what it  
7 is.

8 CHAIRPERSON FROINES: Within -- it's with --

9 PANEL MEMBER BLANC: -- in humans.

10 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

11 SALMON: -- within the human population.

12 PANEL MEMBER BLANC: And I think it's mostly, we  
13 hear -- theoretically it would be addressing the fact that  
14 children with growing bones --

15 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

16 SALMON: Yes, that's the biggest --

17 CHAIRPERSON FROINES: Well, see, if I understand  
18 what Paul just said -- correct me if I'm wrong -- then  
19 what this factor of 10 is for is not what Paul was just  
20 addressing.

21 PANEL MEMBER BLANC: No, it's not.

22 CHAIRPERSON FROINES: So it's not covering the  
23 issue he's referring to.

24 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

25 SALMON: It potentially covers a number of things. But

1 the most important single thing is the difference between  
2 children and adults.

3 CHAIRPERSON FROINES: Well, that's a problem with  
4 safety factors --

5 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

6 SALMON: Yes.

7 CHAIRPERSON FROINES: -- isn't it, is that we can  
8 call it anything we want? And, therefore, it's a fudge  
9 factor, not a -- so that is it legitimate to say that,  
10 well, it was essentially to cover children but now we're  
11 dealing with background and so we're going to include that  
12 and the magnitude should therefore be 10?

13 PANEL MEMBER BLANC: I don't want to get --

14 PANEL MEMBER HAMMOND: That's not the way to go  
15 about it.

16 PANEL MEMBER BLANC: Andy, I don't want to drag  
17 this Fluoride thing out forever. And I don't -- I could  
18 easily be convinced that, you know, that this is -- that  
19 the algebra of this would in the end mean that this is a  
20 trivial point and that it's not substantive. And I would  
21 be happy to, you know, tentatively accept this, you know,  
22 with the two provisos: One is that you do the calculation  
23 that I ask. You don't necessarily have to put it full  
24 force in a document, but there could be a couple sentences  
25 that somehow get at this point. Unless you find that it

1 really is a big impact. Then I think you got to rethink  
2 this.

3 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

4 SALMON: Yes.

5 PANEL MEMBER BLANC: And the other thing that  
6 would be helpful is if you could just send me in the mail  
7 the true underlined copy just so I can see it.

8 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

9 SALMON: Can I also just read you -- or to draw your -- so  
10 I won't read the whole thing, just draw your attention to  
11 it -- the last paragraph of the summary. And what we're  
12 saying here, consideration should be given to populations  
13 with high fluoride intake and for individuals even --  
14 basically what we're saying is if they are having an  
15 exposure which is already close to the oral REL, then the  
16 exposures to fluorides, you know, from the source being  
17 considered in the hot spots, which would be at the oral or  
18 inhalation reference levels we proposed, might be  
19 deleterious. In other words what we're saying here is  
20 that a multimedia risk assessment should take into account  
21 all the background exposures.

22 Now, perhaps what we're saying is that we need to  
23 actually say that in English rather than in --

24 CHAIRPERSON FROINES: Well, I'll tell you an --  
25 that's one of questions that Elinor and I were talking

1 about last night. Because on the plane yesterday the man  
2 sitting next to me, who refused to shut up so I could  
3 actually read this document, kept asking me about was  
4 fluoride in drinking water safe, because that's the  
5 question he has. And I said, "I can't read this document  
6 and answer your question." And so the issue -- there is  
7 this other public health issue, which is when you do look  
8 at this, it does seem to appear that your chronic REL for  
9 fluoride and the amount that people are currently drinking  
10 in their fluoridated water and from other sources is  
11 problematic.

12 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

13 SALMON: Its possible that --

14 CHAIRPERSON FROINES: More than problematic.

15 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

16 SALMON: There's a narrow margin of safety between  
17 what's -- if you like, what's a nutritional requirement.  
18 I mean that's how I see the requirement for fluoride in  
19 drinking water to protect.

20 CHAIRPERSON FROINES: I read this document as  
21 saying that the current amount that we are drinking is in  
22 excess of what you consider safe.

23 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

24 SALMON: No, that's not what we're saying.

25 PANEL MEMBER HAMMOND: We have .04 milligrams per

1 kilogram day times 70 grams a person is 2.8 milligrams.  
2 And this table has people coming out above that in the --  
3 from drinking water already, before we have any other.

4 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

5 SALMON: Yeah, but the -- the chronic reference exposure  
6 level is a safe level, not an effect level.

7 PANEL MEMBER HAMMOND: Yeah, but do you want to  
8 set your safe -- but I read this to --

9 CHAIRPERSON FROINES: If you take here  
10 calculation of 70 times 4 --

11 PANEL MEMBER HAMMOND: And not even getting to  
12 kids.

13 CHAIRPERSON FROINES: And then you have back here  
14 that there are people who are drinking 7 milligrams a day.  
15 2.8 and 7 seem to me to be numbers that suggest that 2.8  
16 isn't entirely safe. Maybe we're reading it wrong, but we  
17 have the same -- we get the same --

18 PANEL MEMBER HAMMOND: And then I'd worry about  
19 children. It gets even worse.

20 OEHHA DEPUTY DIRECTOR ALEXEEFF: I think the --  
21 are you saying that the document is suggesting that, based  
22 upon the analysis, that the drinking water standard is not  
23 safe? Is that what the concern is --

24 PANEL MEMBER HAMMOND: I think that's an  
25 interpretation one could make.

1           OEHHA DEPUTY DIRECTOR ALEXEEFF: Okay. So one of  
2 the differences though is that -- I would say that, you  
3 know, the chronic REL here is using our standard  
4 procedure, that we develop a benchmark dose and divide by  
5 10. And as you can see, the amount of data we have for  
6 our chronic reference level calculation is limited. But  
7 in terms of the oral PHG, public health goal, developed,  
8 we actually had a lot more data, and I think that that --  
9 you know, we were able to look at the issues of both, you  
10 know, the improvements from fluoridation as well as  
11 potential hazards from fluoridation.

12           So I think that the -- I don't think you can use  
13 the chronic reference level to sort of question the public  
14 health goal, because the public health goal probably has  
15 better data set in terms of defining what that level  
16 should be.

17           Maybe I've misunder --

18           PANEL MEMBER HAMMOND: I think what we're  
19 saying -- I mean you could -- what I'm saying is -- I'm  
20 not saying that I believe that drinking water is a  
21 problem. I'm not saying that. I'm saying someone reading  
22 this document could make such a case.

23           CHAIRPERSON FROINES: Well, let me just --

24           PANEL MEMBER HAMMOND: And if you have better  
25 data that tells you that the current level in drinking

1 water is in fact not a hazard, I think it ought to be in  
2 here because I think it -- this document could be very  
3 easily misread.

4 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

5 SALMON: Well, it is in there because the PHG is that  
6 analysis. And our oral REL is --

7 PANEL MEMBER HAMMOND: But I don't think you  
8 can --

9 CHAIRPERSON FROINES: Well, let me just state --  
10 I'm with Kathy on this. And this is a -- we are  
11 approaching this from the over -- the simplified  
12 man-on-the-street level. Because this guy who was on the  
13 plane yesterday is going to -- I told him -- he said, "How  
14 can I read this?" And I said, "Well, you go to the  
15 website and it'll be on the website." So this is a travel  
16 agent who's going to go to the website and read this. And  
17 if he's smart enough to do the calculation Kathy just  
18 said, he's going to be worried.

19 I think you need a sentence or something in there  
20 that somehow dispels the concerns that are going to arise.

21 PANEL MEMBER HAMMOND: No, it's -- I think as  
22 soon as you have this number right here in front -- people  
23 aren't going to read the whole document. This number's  
24 enough of a number, right? You know, doesn't someone take  
25 a TLV, you know, any standard and they look at those

1 numbers and compare to what they're exposed to. The ozone  
2 standard, you take and you look at the two next to each  
3 other.

4           OEHHA DEPUTY DIRECTOR ALEXEEFF: So we could add  
5 a clarification to that. It sounds like a clarification.

6           CHAIRPERSON FROINES: I think it's something very  
7 minor. But I think something that will help somebody  
8 who's not us understand it and not feel they need to  
9 worry. Although maybe we should be worrying about our  
10 fluoride. Maybe we're too accepting of --

11           OEHHA DEPUTY DIRECTOR ALEXEEFF: No, there's  
12 actually -- there are a number of studies that have looked  
13 at fluoridation of water. And you have population studies  
14 and they're a lot of information. But we could put a  
15 clarification in here. And the actual -- as you point  
16 out, the reference level that we come up with is probably  
17 like 10 percent of the exposure of the PHG. But that's  
18 not to suggest -- or maybe not quite that much. It would  
19 be a third or so.

20           PANEL MEMBER HAMMOND: But let me approach it  
21 from a different point of view. Because in the end, if  
22 this is -- if one's going to have to regulate the people  
23 who are emitting it -- the fact that it's emitting  
24 fluorides maybe might be affected by this. If I were  
25 working for them, I'd say, "How can you tell me that I'm

1 impairing the public health when what I do exposes people  
2 to far less than what they're getting in the drinking  
3 water, that the public's putting into the water?"

4           So I don't see how we can have a standard that --  
5 if we believe it's safe to take it into the drinking  
6 water, because that's been well established, and I believe  
7 you, then I don't see how you can turn around and say it's  
8 not safe in another setting. So I think you have to take  
9 the drinking water level and apply it here and look at  
10 this dose. I mean that worries me to kind of have these  
11 different standards, because we're still all people.

12           And I also know what you're saying in terms of  
13 the fact that you took -- you followed the standard  
14 procedures and this is the number you get -- you get to go  
15 through that. And it may be that this is a case where the  
16 therapeutic window is very narrow and the difference  
17 between a therapeutic and a hazardous -- but if that's  
18 really true and we really believe that, then maybe factors  
19 of 10 aren't appropriate in the standard risk model. And  
20 good risk assessment is following the right thing and  
21 not -- or not using the full data, maybe.

22           I haven't -- not part of this background, and so  
23 I'm reading this naively, I know.

24           OEHHA DEPUTY DIRECTOR ALEXEEFF: Well, maybe we  
25 can add some clarification to the document regarding this

1 issue and the relationship of the two or the  
2 interrelationship of the two, which would be helpful.

3 CHAIRPERSON FROINES: I think that's acceptable.

4 PANEL MEMBER BLANC: Yeah, sure.

5 CHAIRPERSON FROINES: We'll look at it. We'll  
6 vote now, but we'll --

7 PANEL MEMBER BLANC: So I'd like to move the  
8 pending -- assuming those clarifications that were  
9 discussed today, that this document be accepted.

10 CHAIRPERSON FROINES: You'll get a chance to see  
11 it.

12 PANEL MEMBER HAMMOND: I'd like to abstain.

13 CHAIRPERSON FROINES: What?

14 PANEL MEMBER HAMMOND: I would like to abstain.

15 CHAIRPERSON FROINES: No, but you will get a  
16 chance to see what they do. And if it's not acceptable,  
17 we'll bring it back to the --

18 PANEL MEMBER HAMMOND: I just want to abstain.

19 DR. COLLINS: Then we can't do anything.

20 CHAIRPERSON FROINES: Sure you can.

21 DR. COLLINS: There's only four of you.

22 PANEL MEMBER HAMMOND: No, it's just the  
23 number -- the quorum's present.

24 CHAIRPERSON FROINES: Abstain is a vote.

25 DR. COLLINS: Okay.

1 PANEL MEMBER BLANC: There's not a second though  
2 yet.

3 CHAIRPERSON FROINES: Make the motion again.  
4 Maybe it will wake some --

5 PANEL MEMBER BLANC: I'd like to move that we  
6 accept the document presumptively based on the  
7 clarifications that were discussed at this meeting.

8 PANEL MEMBER LANDOLPH: I'll second.

9 CHAIRPERSON FROINES: Discussion?

10 PANEL MEMBER HAMMOND: I am concerned either that  
11 this level is -- that the oral reference exposure level is  
12 too low or that we've got a problem with drinking water.  
13 I guess to me that means -- maybe I'm being naive with  
14 this.

15 CHAIRPERSON FROINES: George, can you speak to  
16 that, or Andy?

17 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

18 SALMON: Well, the oral reference level is the PHG, which  
19 is one of the things that regulates the amounts of  
20 fluoride that is put in -- what makes the inhalation  
21 level --

22 PANEL MEMBER HAMMOND: Okay. And I have to  
23 translate to it put within this public health benefit --  
24 PHG --

25 OEHHA DEPUTY DIRECTOR ALEXEEFF: Public health

1 goal.

2 PANEL MEMBER HAMMOND: I mean that's already been  
3 established, is that what you're saying?

4 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

5 SALMON: Yes, that's out there and has been for some time.

6 We're not proposing that. We're referencing it.

7 PANEL MEMBER HAMMOND: Okay. I see.

8 So they've already dealt with the --

9 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

10 SALMON: And it's not unusual that we would have  
11 significantly different standards for different routes of  
12 exposure.

13 PANEL MEMBER HAMMOND: Well, that I understand.

14 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

15 SALMON: And --

16 CHAIRPERSON FROINES: But let me ask you this:

17 Does the PHG, which that -- part of the problem is Kathy  
18 nor I have read it. Does the PHG address the issue of the  
19 amount of fluoride in our drinking water now relative to  
20 the PHG that was established?

21 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

22 SALMON: Yes, the PHG is about how much total fluoride is  
23 there in your drinking water from all sources.

24 CHAIRPERSON FROINES: And you speak to the issue  
25 of whether the current levels constitute a health risk --

1 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

2 SALMON: PHG does, yes.

3 CHAIRPERSON FROINES: You do?

4 AIR TOXICOLOGY AND RISK ASSESSMENT UNIT CHIEF

5 SALMON: Yes.

6 CHAIRPERSON FROINES: Well, I think that -- that  
7 would seem that that's part of the clarification you can  
8 put in this document, is simply me to reference that in  
9 some sort of way that stands out.

10 But then I think Kathy should take a look at the  
11 PHG. And if it's a problem, then bring it back. I mean  
12 we'll come back --

13 PANEL MEMBER HAMMOND: We don't do the PHGs  
14 though, do we?

15 CHAIRPERSON FROINES: No. But if we have a  
16 problem, we can raise it with them with this -- that's  
17 not -- nothing's foreboding.

18 PANEL MEMBER HAMMOND: Yeah, I mean if you  
19 breathe 20 cubic meters a day and you have 20 microgram  
20 per cubic meter standard with your air, then the intake is  
21 only .28 milligrams. So it's quite a bit less than the  
22 intake that's allowed. It's almost a factor of 10 from  
23 the intake from the oral reference exposure. So it's --

24 OEHHA DEPUTY DIRECTOR ALEXEEFF: Correct. And so  
25 part of it is that the -- you know, the fluoridation is --

1 it also involves a risk benefit issue as well. So the  
2 whole PHG. Wherein this case, there's -- you don't have  
3 that balance.

4           So in one sense the standard could be a little  
5 bit -- if you're going back to the air district, why would  
6 you allow this -- why are you restricting emissions from a  
7 facility greater than what you allow in water? Well, the  
8 reason is because -- well, first of all, the water is  
9 based upon how much exposure you get elsewhere. So if we  
10 up the amount of emissions we're allowing on the facility,  
11 we have to change the water standard, which is not, you  
12 know -- which is not reasonable. And, second of all,  
13 there is a whole risk benefit decision process made in the  
14 water, of which isn't appropriate in the air pollution  
15 issue.

16           So I mean there's -- but I think what would be  
17 helpful though is just to clarify how much is coming from  
18 water, how much is coming from air, what's the  
19 relationship between the water standard -- the water goal  
20 and the air level. And I think that will just -- I think  
21 that will help there.

22           CHAIRPERSON FROINES: Okay. So I'm calling the  
23 question then based on that clarification.

24           All those in favor of the motion, raise your  
25 hand.

1 (Hands raised.)

2 CHAIRPERSON FROINES: Four and one abstention.

3 So the vote is four in favor, none opposed, one  
4 abstention.

5 And we can entertain a motion at this point for  
6 closure.

7 PANEL MEMBER BLANC: I move that we adjourn.

8 PANEL MEMBER FRIEDMAN: Second.

9 CHAIRPERSON FROINES: Discussion?

10 All those in favor say aye.

11 (Ayes.)

12 CHAIRPERSON FROINES: The meeting is adjourned.

13 Thank you very much.

14 (Thereupon the California Air Resources  
15 Board, Scientific Review Panel meeting  
16 adjourned at 1:30 p.m.)

17

18

19

20

21

22

23

24

25

## 1 CERTIFICATE OF REPORTER

2 I, JAMES F. PETERS, a Certified Shorthand  
3 Reporter of the State of California, and Registered  
4 Professional Reporter, do hereby certify:

5 That I am a disinterested person herein; that the  
6 foregoing California Air Resources Board, Scientific  
7 Review Panel meeting was reported in shorthand by me,  
8 James F. Peters, a Certified Shorthand Reporter of the  
9 State of California, and thereafter transcribed into  
10 typewriting.

11 I further certify that I am not of counsel or  
12 attorney for any of the parties to said meeting nor in any  
13 way interested in the outcome of said meeting.

14 IN WITNESS WHEREOF, I have hereunto set my hand  
15 this 7th day of June, 2003.

16

17

18

19

20

21

22

23

JAMES F. PETERS, CSR, RPR

24

Certified Shorthand Reporter

25

License No. 10063