

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

MEETING  
OF THE  
SCIENTIFIC REVIEW PANEL ON TOXIC AIR CONTAMINANTS  
CALIFORNIA AIR RESOURCES BOARD

MILBERRY CONFERENCE CENTER  
UNIVERSITY OF CALIFORNIA, SAN FRANCISCO  
500 PARNASSUS AVENUE  
SAN FRANCISCO, CALIFORNIA

THURSDAY, OCTOBER 16, 1997  
9:30 A.M.

Janet H. Nicol  
Certified Shorthand Reporter  
License Number 9764

1 APPEARANCES

2 MEMBERS PRESENT:

3 Dr. John R. Froines, Chairman  
Dr. Paul D. Blanc  
4 Dr. Craig V. Byus  
Dr. Gary D. Friedman  
5 Dr. Stanton A. Glantz  
Dr. Peter S. Kennedy  
6 Dr. James N. Seiber

7 REPRESENTING THE CALIFORNIA AIR RESOURCES BOARD:  
8

Mr. Robert Krieger, Associate Air Pollution Specialist,  
9 Stationary Source Division  
Mr. Bill Lockett, Deputy Ombudsman, Northern California  
10 Ms. Genevieve Shiroma, Chief, AQMB

11 REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD  
12 ASSESSMENT:

13 Dr. George Alexeeff, Chief, Air Toxicology & Epidemiology  
Section  
14 Dr. John Budroe, Staff Toxicologist, Air Toxicology &  
Epidemiology Section  
15 Dr. Stanley V. Dawson, Staff Toxicologist, Air Toxicology &  
Epidemiology Section  
16 Dr. Michael Lipsett

17

18

19

20

21

22

23

24

25

1	INDEX	
2		PAGE
3	AGENDA ITEMS:	
4	1 Opening Remarks	1
5	2 Introduction of New Panel Members	1
6	Drs. Paul D. Blanc and Peter S. Kennedy	
7	3 General Panel Discussion of the Draft	
8	Document: Proposed Identification of Diesel	
9	Exhaust as a Toxic Air Contaminant, Parts A	
10	& C (May 1997) and Part B (Health Risk	
11	Assessment, March 1997)	
12	Presentation by Ms. Shiroma	4
13	Presentation by Mr. Krieger	7
14	Presentation by Dr. Alexeeff	35, 90, 173
15	Presentation by Dr. Lipsett	80, 151
16	4 Discussion of Panel Agenda for Next	201
17	SRP Meeting	
18	Adjournment	209
19	Certificate of Reporter	210
20		
21		
22		
23		
24		
25		

1 P R O C E E D I N G S

2 CHAIRMAN FROINES: Okay. Well, in some respects I  
3 am -- can you hear me in the back? In some respects I'm  
4 sorry to be sitting in this chair. I think the panel  
5 suffered by Jim Pitts' retirement after so many years of  
6 really great work as chair of this panel. So I think that  
7 the first thing I want to say is as the chair for this  
8 meeting that we all owe a debt of gratitude to Jim and he  
9 did a remarkable job over the years and he'll be a tough act  
10 to follow.

11 Secondly, I want to introduce two new members of  
12 the panel.

13 I can't tell if this has -- this has a ringing  
14 sound to me. Does it have a ringing sound to you? What can  
15 we do about that? It's okay?

16 First person is Dr. Peter Kennedy, who fills the  
17 oncologist position. Dr. Kennedy is a member from Southern  
18 California, which is terrific for those of us who are also  
19 from Southern California, and creates a little balance in  
20 this panel. Dr. Kennedy took his first degree in Harvard  
21 College and then his medicine degree at Baylor University.

22 So welcome to the panel.

23 The second member is Dr. Paul Blanc, who fills the  
24 position as occupational physician. Paul is with the Center  
25 for Occupational and Environmental Health in the Division of

1 Occupational Medicine at UC San Francisco. So I think with  
2 diesel, Paul's role is going to be particularly important,  
3 given his research interests in the area, pulmonary  
4 medicine.

5 I think that, needless to say, that we are now  
6 embarking on a process with diesel exhaust which represents  
7 the most important set of substances that I think we will  
8 have had to address since this panel was established in  
9 1983. I think the issues have potentially significant  
10 impact. I think there is considerable scientific  
11 controversy. And I believe that there is in some respects a  
12 degree of scientific uncertainty as well that will be  
13 necessary to deal with.

14 So I think that the issue of diesel is going to be  
15 a difficult one. It is, given the importance of diesel in  
16 this society, it's going to be a very important process that  
17 we engage in, and so I think we need to take this particular  
18 chemical very very seriously and proceed as carefully as  
19 possible.

20 I hope as questions arise people will raise them.  
21 I hope that this particular meeting is intended as a  
22 briefing. It's intended as a way in which the panel can  
23 learn more from the staffs of ARB and Cal EPA, about the  
24 fundamental issues associated with diesel exhaust, about the  
25 scientific underpinnings for their conclusions, and about

1 the basis for the decisions that have been made thus far, as  
2 well as making us aware of the uncertainties that still need  
3 to be addressed.

4 This meeting, as I say, is a briefing. I hope  
5 that we will learn a great deal. It seems to me incumbent  
6 upon this panel to ask as many questions as possible to  
7 determine what are issues that we think are unresolved or  
8 uncertain or need further clarification or are simply  
9 questions that require being answered, because I assume that  
10 at the next meeting or in a meeting at the latter part of  
11 the year, early next year, that we will formally take up the  
12 document for consideration.

13 So we are not taking up the document today for  
14 formal consideration. We are simply again having a briefing  
15 session.

16 But I think that we need as a panel to give advice  
17 and counsel to the two staffs so that when the document is  
18 brought back to us in December or January that the staffs  
19 have had the benefit of major input from the panel and so  
20 when we begin to consider it, hopefully some of the issues  
21 will have been resolved in that process.

22 I made some other notes, but I think I'll save  
23 them. Why don't we get started, rather than my giving a  
24 lengthy presentation. I think that will get us off the  
25 ground.

1 MS. SHIROMA: Thank you. Good morning,  
2 Dr. Froines, members of the panel. My name is Genevieve  
3 Shiroma. I'm chief of the Air Quality Measures Branch at  
4 the Air Resources Board. My branch is responsible for  
5 implementing the Toxic Air Contaminants' Identification  
6 Program, specifically the exposure portion of the program.

7 Also with me is Robert Krieger, of my staff, who  
8 is lead on the exposure portion of these documents.

9 We are here today, as Dr. Froines indicated, with  
10 staff from the Office of Environmental Health Hazard  
11 Assessment to review, to present an overview and staff  
12 report on our draft document, the "Proposed Identification  
13 of Diesel Exhaust as a Toxic Air Contaminant."

14 I'll be providing a short introduction, and then  
15 I'll turn the presentation over to Robert, who will give an  
16 overview of the Part A, the report, and the major comments  
17 we've received.

18 Part B will be discussed by Drs. George Alexeeff  
19 and Michael Lipsett, with the OEHHA.

20 At the end of our presentation, I'll go over the  
21 anticipated schedule. And along the way feel free to ask  
22 questions.

23 By way of introduction, as you know we have a  
24 Comprehensive Toxics Program in California. The program was  
25 created by AB 1807 in 1983, which initiated a program for

1 the formal identification and control of air toxics of  
2 statewide importance in California.

3 The program separates risk assessment, which is  
4 the identification of substances, that's the phase we are in  
5 now, from risk management, the control of the substance.  
6 And we have the definition of toxic air contaminant up on  
7 the screen, an air pollutant which may cause or contribute  
8 to an increased mortality or a serious illness or which may  
9 pose a present or potential hazard to human health.

10 One of the first steps in the identification  
11 portion of the program, the risk assessment portion, begins  
12 with prioritization of substances of importance in  
13 California. We consider the potential risk to public  
14 health, amount or potential amount of emissions, exposure,  
15 usage in California, and persistence in the atmosphere.

16 Now, this next slide shows the process for  
17 identification and control of air toxics in California.  
18 Again, we are in identification phase.

19 Once a substance is selected for evaluation, we at  
20 the ARB are responsible for preparing the Exposure  
21 Assessment Report, or the Part A.

22 The OEHHA is responsible for the Health Assessment  
23 portion of the report, or Part B.

24 The draft reports are distributed for public  
25 review and comment. Public workshops are held where

1 interested parties can discuss issues with the staff and  
2 members of the SRP.

3 After the public comment period and workshops,  
4 staff of the ARB and OEHHA consider the comments and revise  
5 the report accordingly.

6 We then submit the report to you, the panel. You  
7 review the report for determining whether sound scientific  
8 knowledge, methods and practice were used.

9 If you are satisfied with the report, you prepare  
10 findings and submit them to ARB.

11 Once the Scientific Review Panel findings are  
12 received, a public hearing is scheduled, and a staff report  
13 is released for a 45-day public comment period before a  
14 hearing before the Air resources Board.

15 At that hearing, ARB decides on a regulation to  
16 formally identify a substance as a toxic air contaminant.

17 Upon that action, the ARB staff then begins the  
18 second phase of the program, the risk management phase.  
19 Again, in that phase there is a needs assessment looking at  
20 the need for or degree of further controls, there is  
21 extensive public outreach and opportunities for public  
22 comment, and we work closely with other governmental  
23 entities such as the air districts.

24 Next slide.

25 We entered diesel exhaust into the program in

1 1989. We assessed that there indeed was a potential health  
2 effects with widespread exposure in California. The IARC  
3 had listed diesel exhaust as a probable human carcinogen.  
4 US EPA had begun evaluation and in 1994 did investigate it  
5 as a number one probable. And overall, diesel exhaust met  
6 the Health and Safety Code criteria regarding potential risk  
7 exposure, use and persistence.

8 Now, with this, I'm going to turn the microphone  
9 over to Robert, who will give an overview of the Part A  
10 exposure assessment.

11 Yes, Dr. Froines.

12 CHAIRMAN FROINES: Just one other point about this  
13 list of things. As far as I know, diesel exhaust is  
14 currently listed as a carcinogen known by the State's  
15 experts as a compound known by the State's experts to cause  
16 cancer under Prop 65? That's correct?

17 MS. SHIROMA: Yes, that's correct. Yes.

18 At this point, I'll turn the microphone over to  
19 Robert, who will give an overview of the Part A exposure  
20 assessments and, again major comments we have received.

21 MR. KRIEGER: Thank you, Genevieve.

22 And good morning, members of the panel.

23 Can't hear? Test, test. Does this work a little  
24 better? I'll just have to speak up. We'll trade  
25 microphones.

1           Okay. As Genevieve indicated, in the next few  
2 slides I will be giving you a brief overview of the Exposure  
3 Assessment of Diesel Exhaust Report, a summary of the major  
4 comments, and our proposed revisions to the report.

5           Diesel exhaust entered the AB 1807 identification  
6 process in October of 1989.

7           In March 1990, ARB sponsored a conference on the  
8 risk assessment on diesel exhaust.

9           On June 17th, 1994, the initial draft report was  
10 released to the public for a six-month comment period at a  
11 public briefing.

12           Our first public workshop was held on September  
13 14th, 1994.

14           And on January 29th and 30th, 1996, the OEHHA-ARB  
15 Health Effects Institute National Institute of Occupational  
16 Safety and Health, the World Health Organization, and the  
17 US EPA, sponsored a Human Health Study Workshop.

18           The revised draft report was released to the  
19 public in a briefing on May 9th, 1997, for a 100-day comment  
20 period.

21           Yes?

22           CHAIRMAN FROINES: Do the new members of the panel  
23 have copies of that January workshop?

24           MS. SHIROMA: No.

25           MR. KRIEGER: No.

1           CHAIRMAN FROINES: Could you make them available,  
2 because I think they're important scientifically.

3           MR. KRIEGER: Copies of the presentation will be  
4 given out.

5           We held our third public workshop recently on July  
6 1st of 1997.

7           I will begin my overview of the exposure  
8 assessment by beginning with the properties of diesel  
9 exhaust.

10          Diesel exhaust is a complex mixture of gases,  
11 vapors and particles, has several thousands of constituents.  
12 Some of these substances are known human carcinogens, such  
13 as arsenic and benzene, and includes over 40 substances  
14 listed by the US EPA as hazardous air pollutants and Air  
15 Resources Board as toxic air contaminants. The majority of  
16 these diesel exhaust particles are less than one micron in  
17 diameter.

18          This slide shows the 40 compounds that are toxic  
19 air contaminants.

20          Sources of emissions of diesel exhaust.

21          About 36,000 tons per year are emitted into  
22 California's atmosphere each year, and this is based on 1995  
23 Emissions Inventory. The majority of these emissions come  
24 from on-road vehicles, or about 59 percent; other mobile  
25 sources, 36 percent; and the remaining five percent come

1 from stationary source.

2 To characterize exposure to diesel exhaust, we are  
3 using particulate concentrations. To estimate outdoor  
4 exposure concentrations, we used receptor modeling  
5 techniques, including chemical mass balance results from  
6 several studies, ambient PM 10 monitoring network data, and  
7 the 1990 PM 10 Emissions Inventory.

8 The ARB used the 1990 PM 10 Emissions Inventory  
9 for the basis for calculating the statewide exposure to  
10 diesel exhaust PM 10, because it would best represent the  
11 emission sources in the years when the ambient data were  
12 collected for the chemical mass balance studies.

13 From the results of this analysis, we estimate  
14 that Californians are exposed to outdoor concentrations of  
15 diesel exhaust PM 10 of 3.0 micrograms per cubic meter in  
16 1990.

17 DR. FRIEDMAN: Could you define PM 10?

18 MR. KRIEGER: Particulate matter ten microns and  
19 less in diameter, less in diameter.

20 DR. FRIEDMAN: All sizes?

21 MR. KRIEGER: All sizes.

22 DR. SEIBER: Robert, could you explain why you  
23 have to do this estimate? In other words, the State  
24 collects PM 10 data around the clock day in and day out for  
25 throughout the year, and but that's particulate matter from

1 all sources. So really diesel is a part, somewhere around,  
2 what, six, eight percent, eight percent of the total?

3 MR. KRIEGER: Yeah. If we're just looking at  
4 Emissions Inventory only, it's about actually four percent  
5 of the PM 10 total inventory.

6 DR. SEIBER: Four percent. And what's the other  
7 96 percent?

8 MR. KRIEGER: 96 percent can either be dust,  
9 wind-blown dust is the little larger size particles, other  
10 secondary formation, NOx particulate, sulfate, other  
11 combustion sources. And --

12 MS. SHIROMA: Manufacturing.

13 DR. SEIBER: I think it's kind of important to see  
14 that this is a part of the bigger hive and roughly five, ten  
15 percent, I saw several numbers in the report which is the  
16 contribution from diesel to this total PM 10 load in the  
17 atmosphere.

18 MR. KRIEGER: That's correct.

19 DR. SEIBER: Of course, it's higher if you're near  
20 a freeway and so on.

21 MR. KRIEGER: That's true.

22 We've also estimated in 1995 and future year  
23 concentrations and these were based on prior Emissions  
24 Inventory estimates.

25 In 1995, the estimate is 2.2 micrograms per cubic

1 meter.

2           And in 2010, the estimate is 1.7 micrograms per  
3 cubic meter.

4           And the reduction that you see up there is due to  
5 largely in part to the adopted regulations requiring the  
6 emission reductions from diesel fuel and engines.

7           DR. BLANC: Do you have some data now to suggest  
8 that your estimate that you made earlier of what the 1995  
9 anticipated levels would be have borne fruit? I mean, part  
10 of the problem here or the challenge is that this is such a  
11 drawn out and lengthy process that you began drafting a  
12 document in the early 1990s and now it's 1997, and certainly  
13 some things have evolved since that time.

14           I think that to continually raise the specter of,  
15 well, what about 1996, what about 1997, would be to put you  
16 in sort of a blind loop where you could never, given the  
17 requirements of development of the criteria document, never  
18 have data that was current enough.

19           So that's not what I'm suggesting, but given the  
20 time frame that you were working in, I think it would be  
21 possible to comment on whether or not that estimate is  
22 consistent with preliminary data that you might have from  
23 1995.

24           Or another way of saying it, it's sort of  
25 counterproductive in your document to predict what future

1 1995 levels will be, ignoring the fact that the document is  
2 likely to be finalized long after 1995.

3 MR. KRIEGER: That's true. We realize that's the  
4 problem in all these documents that we're doing, when times  
5 matter.

6 We are continually updating our exposure document  
7 to include -- and we're going to update even some of these  
8 exposures for 1995 -- to include the most recent Emissions  
9 Inventory.

10 Since the May version, we've already updated it  
11 once because we have a new Motor Vehicle Emissions  
12 Inventory, in fact, 7-G, that we've included, that also  
13 adjusted these numbers. So this is the most recent numbers  
14 that you see right here.

15 In the future, we also plan on doing 1995 total  
16 exposure estimates as well. You'll see it. I'll explain it  
17 later in the future slide.

18 CHAIRMAN FROINES: Will that be available in the  
19 final document that we receive in December?

20 MR. KRIEGER: Yes. Yes.

21 DR. BLANC: I would be satisfied with seeing a  
22 footnote that said since the drafting of this document we  
23 now have the 1995 data, which show the level as 2.3 or 1.9  
24 or 2.1, or whatever it is. I'm not saying that you have to  
25 go back and rewrite and rewrite, but on the other hand I

1 think it would be best not to ignore the lapse of time  
2 factor.

3 DR. GLANTZ: Do you have any idea why these  
4 concentrations are going down?

5 MR. KRIEGER: Primarily because of the majority is  
6 going down because of the diesel fuel regulation in 1993.  
7 The majority of the jump from 1990 to 1995 is due to not  
8 only the fuel regulation in 1993, but also emission  
9 standards that have been implemented during those years,  
10 agent standards.

11 DR. SEIBER: In one of your drafts it showed that  
12 the emissions, on-road emissions, have decreased to about  
13 half in 1995 what they were in 1990. That's fairly  
14 dramatic.

15 And, again, picking up on the point, I think the  
16 big drop that we'll see is from '90 to '95.

17 Maybe you can comment on whether that's going to  
18 continue on as a trend, given the present system or is that  
19 pretty much have we leveled out at that emission level?

20 Maybe you don't have the information.

21 MR. KRIEGER: By 2010 it does drops. It steadily  
22 goes down, but not a dramatic drop, as you can see, because  
23 of the growth and the vehicle mass travel and the fleet  
24 makeup.

25 CHAIRMAN FROINES: Our job is, of course, is to

1 look at the health effects and risk assessment emissions as  
2 well, but it would be interesting if you ever had anything  
3 that you could give the panel that talked about alternative  
4 fuels and new diesel technology, just for our background  
5 reading that would be very nice, because it seems to me that  
6 in the long run diesel technology, alternative fuels and  
7 other approaches are going to become very important as we  
8 try and address the diesel issue.

9 MR. KRIEGER: Okay. Yes, we can provide that to  
10 you.

11 Near source exposure. We've also done a near  
12 source estimate in the May 1997 draft. This was done near a  
13 freeway in LA. Well, actually the Long Beach Freeway.  
14 Concentrations near this freeway we estimated to be three  
15 times that of the ambient air.

16 This slide just shows that our outdoor exposure  
17 estimates compare well with work that was done by other  
18 researchers.

19 DR. SEIBER: That's from all over the United  
20 States; right?

21 MR. KRIEGER: That's correct.

22 DR. SEIBER: That's not California specifically?

23 MR. KRIEGER: A few of those were California, just  
24 the elemental, the rest were from the nation.

25 Okay. In response to comments on our initial

1 draft report regarding that we should account for the time  
2 spent indoors, we added an analysis which estimated indoor  
3 and total exposure, and this is in the May 1997 draft.

4 We used estimates of the outdoor population  
5 weighted ambient diesel exhaust particle concentrations in  
6 the model, the California Population Indoor Exposure Model,  
7 or CPIEM, that can estimate indoor air exposure and total  
8 air exposure, which accounts for the amount of time spent  
9 indoors and outdoors.

10 The CPIEM was developed under a contract to ARB to  
11 improve estimates of population exposures to toxic air  
12 contaminants. The model uses relevant data such as  
13 distributions of California building air exchange rates,  
14 activity patterns data, and air concentrations of diesel  
15 exhaust particles as inputs to develop indoor and population  
16 exposure estimates across all the environments.

17 We estimated indoor concentrations in 1990 to be  
18 2.0 micrograms per cubic meter, with a total exposure  
19 estimate of about 2.1 micrograms per cubic meter.

20 We also planned as --

21 DR. GLANTZ: Could you just explain the difference  
22 between indoor exposure and total exposure?

23 MR. KRIEGER: The indoor exposure is specifically  
24 in indoor environments, specifically in closed environments.  
25 The total exposure includes the activity that you would

1 spend normally indoors in an environment for a specific time  
2 of day and outdoors. So the total exposure is the  
3 integrated exposure of the indoor and outdoor exposure,  
4 based on your activity patterns.

5 DR. FRIEDMAN: You said that for 1990?

6 MR. KRIEGER: For 1990, that's correct.

7 DR. FRIEDMAN: So we compare that with the 3.0 and  
8 that is outdoors. Is that a general principle that is  
9 assumed that usually the exposures indoors are about  
10 two-thirds of what you'd expect outdoors?

11 MR. KRIEGER: Yes. For diesel particles, yes.

12 We also plan, on another similar note, we plan to  
13 calculate indoor and total exposure estimates based on  
14 1990 -- 1995, excuse me, in the next draft of the report, so  
15 you'll be seeing that as well.

16 Now, an update on the CE CERT study. The question  
17 of old versus new diesel fuel has been posed prior to our  
18 release of the first draft in the identification back in  
19 1994. We determined then that while the total emission  
20 exhaust mass has changed over time, the complex nature of  
21 the exhaust remains with its various toxic constituents. We  
22 therefore have proceeded with our efforts towards  
23 identification of diesel exhaust.

24 However, in response to concerns expressed about  
25 whether the thumbprint is similar between old versus new

1 fuel, we contracted a study with the University of  
2 California at Riverside, College of Engineering, Center for  
3 Environmental Research and Technology, or CE CERT, to  
4 conduct a study to test old, pre-1993, and new reformulated  
5 diesel fuels compare their chemical compositions of  
6 different fuels on the exhaust from the heavy-duty diesel  
7 Cummins engine.

8           Since that time, CE CERT has established a  
9 Technical Advisory Committee made up of representatives from  
10 oil companies, engine manufacturers, ARB and OEHHA to  
11 provide technical assistance on this project.

12           Testing began December of 1996 and the preliminary  
13 results will be available within the next few weeks.

14           At this time, we can tell you that the testing of  
15 the engines met all the standard testing protocol.

16           The results are being QA/QC'd at the present time  
17 with the Technical Advisory Committee planning to meet by  
18 the end of this year to review these results.

19           DR. FRIEDMAN: Could you explain some of the  
20 jargon you used, the QA/QC?

21           MR. KRIEGER: Quality assurance, quality control.

22           DR. FRIEDMAN: Could you explain what you mean by  
23 that in this context?

24           MR. KRIEGER: Mainly, I'm not specific to the  
25 whole protocol on this, but quality assurance/quality

1 control generally is a series of checks to make sure that  
2 the data that is being produced in this study is handled  
3 properly from the point that the engine is testing the  
4 results, to the point it's analyzed in the lab and the point  
5 where we get the results. So it's a whole step rise  
6 progression to make sure there's certain checks along the  
7 way.

8 DR. FRIEDMAN: On the quality of the data, the raw  
9 data or on the calculations?

10 MR. KRIEGER: Yes. The quality of both -- both  
11 the quality and the calculations of the data.

12 DR. SEIBER: Is the -- remind us who the Technical  
13 Advisory Committee, who is it composed of?

14 MR. KRIEGER: Some of the companies represented is  
15 the oil companies.

16 MS. SHIROMA: Arco and Chevron.

17 MR. KRIEGER: Arco and Chevron. And Engine  
18 Manufacturers' Association. Members, representatives from  
19 those associations.

20 MS. SHIROMA: Cummins.

21 MR. KRIEGER: Cummins. I have a list of them. I  
22 can provide those to you, but I don't know all of them.

23 DR. SEIBER: They funded the study and now they're  
24 going to review the results or how is that --

25 MR. KRIEGER: We fund it, to CE CERT. CE CERT is

1 under contract from us to do this study. CE CERT  
2 established the Technical Advisory Committee.

3 MS. SHIROMA: With our concurrence.

4 CHAIRMAN FROINES: Are there -- there are  
5 representatives from Cal EPA and OEHHA and ARB?

6 MR. KRIEGER: Yes.

7 CHAIRMAN FROINES: On the committee?

8 MR. KRIEGER: Yes.

9 CHAIRMAN FROINES: Are there academic  
10 investigators?

11 MS. SHIROMA: UC Riverside.

12 MR. KRIEGER: Yes. UC Riverside. Actually the CE  
13 CERT study, they have co-contractors, UC Davis is doing part  
14 of the study, and UC Riverside. Actually Janet Areus  
15 (phonetic) is one of the researchers, and Norm Kado and  
16 Pablo Comato from UC Davis.

17 CHAIRMAN FROINES: Are you looking at, for  
18 example, how the mix of polycyclic and nitropolycyclic  
19 aromatic hydrocarbons have changed?

20 MR. KRIEGER: Yes. This is a very intensive study  
21 dealing with not only with polycyclic aromatic hydrocarbons,  
22 the PAHs, but also the nitro PAHs, the nitrosamines. We  
23 have a whole list of the compounds.

24 MS. SHIROMA: Mutagenicity.

25 MR. KRIEGER: Mutagenicity as a model.

1           CHAIRMAN FROINES: I think we should get a copy of  
2 the protocol that you have, because clearly there is a  
3 potential change in risk depending on the changes in the  
4 composition and amounts of various subspecies. And so that  
5 would be interesting for us to have and be aware of.

6           MR. KRIEGER: We'll provide you a copy.

7           CHAIRMAN FROINES: I don't mean to be too  
8 technical, is that direct-acting mutagenicity or is it  
9 enzyme-catalyzed mutagenicity, do you know?  
10           Because the problem with the Riverside people is  
11 they tend to look at direct acting.

12           MR. KRIEGER: I believe it's direct-acting, but  
13 I'm not sure on that.

14           DR. BLANC: They're just doing Ames testing?

15           CHAIRMAN FROINES: No, not necessarily. That's  
16 not one of the problems historically.

17           MS. SHIROMA: We'll send the panel members a  
18 packet of information about the study and the protocol and  
19 makeup of the advisory committee.

20           DR. SEIBER: Given our panel -- John, the panel, I  
21 think, is scheduled to meet in December. Would this study  
22 be concluded to the point where we'd have a presentation or  
23 have the result before that December meeting?

24           MS. SHIROMA: Protocol-wise, we need to finish  
25 QA/QC and then discuss the results with the Technical

1 Advisory Committee, and then we'd be able to come to the  
2 panel. I don't think we would be at that point by the  
3 December 10 meeting, but by a January -- is that right,  
4 Robert?

5 MR. KRIEGER: Yes. By January. Actually we're  
6 looking at times in December for the TAC, the Technical  
7 Advisory Committee, to meet, to discuss the results. So  
8 after that time, or at that time, we could provide you  
9 some --

10 MS. SHIROMA: But why don't we take a look at  
11 their schedules and see if we can't provide for their being  
12 able to meet before the December 10 meeting.

13 CHAIRMAN FROINES: If there are major changes in  
14 composition, that has health implications as well. George  
15 may not want to address that, but it's something we'll have  
16 to think about that about once we see the results.

17 DR. BLANC: Will that study also address the  
18 potential redistribution of particle size that occurs in the  
19 newer engines and the newer fuel?

20 MR. KRIEGER: Yes, it does. It includes particle  
21 sizes all the way from .1 up to 2.5 microns.

22 CHAIRMAN FROINES: Go ahead.

23 MR. KRIEGER: In summary, diesel exhaust, as I  
24 mentioned, is a complex mixture of gases, vapors and fine  
25 particles.

1           Emissions of diesel exhaust PM 10 in California  
2   are estimated to be approximately 36,000 tons per year, and  
3   the majority of the particles are less than one micron in  
4   diameter.

5           MS. SHIROMA: Next slide.

6           MR. KRIEGER: As mentioned before, projected  
7   diesel exhaust outdoor ambient concentrations decreased from  
8   3.0 micrograms per cubic meter in 1990 to 1.7 micrograms per  
9   cubic meter in 2010.

10          The California outdoor annual average ambient  
11   concentration in 1990 is estimated to be 2.2 micrograms per  
12   cubic meter.

13          Our near source estimate can be up to three times  
14   that of ambient air concentrations.

15          And, finally, we have considered a person's daily  
16   activity and exposures to different environments to estimate  
17   a total exposure concentration of 2.1 micrograms per cubic  
18   meter.

19          Now, I'd like to present some of the major  
20   comments we received on the May 1997 version of the report.

21          The first one deals with exposure calculations  
22   should include the vapor and gas phase constituents.

23          The second one is a discussion of atmospheric  
24   transformation products should be enhanced.

25          CHAIRMAN FROINES: Bob, are you going to go

1 through each one and then go back to each one?

2 MR. KRIEGER: Yes. I'll respond in the next few  
3 slides.

4 No. 2, comments, discussion of atmospheric  
5 transformation products should be enhanced in the report.

6 No. 3, the characterization of uncertainty of  
7 exposure analysis including near source estimates are  
8 lacking.

9 And the last one is the form of the  
10 identification.

11 Based on these comments we received, we plan on  
12 rewriting the executive summary to clarify exposure analysis  
13 methodology.

14 We also plan to include additional information  
15 from existing data to enhance our discussion of the vapor  
16 gas phase of diesel exhaust into our report.

17 We will also incorporate additional studies in the  
18 Part A and executive summary on the potential mutagenicity  
19 and carcinogenicity of the PAH and nitro PAH compounds.  
20 Actually, some of this is already mentioned in our Chapter  
21 5, but we're going to move this up into the main part of our  
22 text and the executive summary.

23 DR. SEIBER: Robert, particularly on the middle  
24 bullet of that slide, will that come from the CE CERT study,  
25 that vapor phase composition particulate? Will there be new

1 data, we haven't see the protocol, but is that part of the  
2 CE CERT study, is that your main source of new information,  
3 do you anticipate?

4 MR. KRIEGER: We will -- we're anticipating, well  
5 hopefully we'll use that information, but we also have  
6 previous information, existing data, that mentioned -- talk  
7 about the vapor and gas phase of diesel exhaust that we can  
8 use in our report.

9 So there is some existing data out there.

10 MS. SHIROMA: CE CERT will help.

11 MR. KRIEGER: CE CERT will definitely help.

12 We also will be moving some of our discussions  
13 under the certainties in our exposure analysis from the  
14 appendices to the main text of Part A and the executive  
15 summary.

16 We also plan, like I mentioned before, it's not on  
17 this slide, we plan to add the indoor and the total exposure  
18 estimates for 1995.

19 And we are looking at how we can better describe  
20 and characterize the toxic components of diesel exhaust.

21 This concludes my presentation. If there are any  
22 questions --

23 CHAIRMAN FROINES: Can we go back to the third  
24 bullet. We will incorporate additional studies into Part A  
25 and executive summary on the potential increases in

1 mutagenic and carcinogenic PAH and the nitro PAH compounds.

2 Can you say a little bit more about what you  
3 intend to do and what the sources of information are?

4 MR. KRIEGER: There are a few sources that we  
5 haven't included into our report and one is a UCD study that  
6 dealt with the vapor and gas phase mutagenic compounds from  
7 diesel exhaust.

8 CHAIRMAN FROINES: UC Davis?

9 MR. KRIEGER: Right. UC Davis.

10 And they examined actually the vapor and gas  
11 phase, the mutagenic properties from diesel exhaust from old  
12 and new fuels too. This is kind of like a pilot study  
13 before the May CE CERT study. So that data hasn't been  
14 incorporated into our report. That data will be  
15 incorporated.

16 CHAIRMAN FROINES: Isn't there data from Janet and  
17 Roger Atkinson on the same --

18 MR. KRIEGER: Yes. We are actually going to take  
19 a look at all the data they have in the studies that they  
20 have and we have now. But right now I have a stack of  
21 reports from actually UC Riverside that talks about the  
22 mutagenic and carcinogenic compounds in diesel exhaust and  
23 we're going to look at it, and also incorporate it in the  
24 report.

25 DR. BLANC: How will you deal with the changing

1 emphasis at the national level on particulate matter 2.5  
2 micron and less? Most of your emphasis here has been on  
3 exposures to PM 10 particular matter, ten micron or less.

4           It would seem that given the new emphasis on the  
5 national level it might be useful as a parallel to the  
6 question that was asked earlier or a suggestion that it be  
7 emphasized the portion of particulate exposure contributed  
8 by diesel in the overall PM 10, that it be useful to talk  
9 about the overall contribution to PM 2.5, because it's my  
10 impression that given the particle size distribution, in  
11 fact, proportionally it will become even more important if  
12 you look at 2.5. Am I correct in the assumption?

13           MR. KRIEGER: Yes. You're correct. We actually  
14 are going to add or expand our discussion on that PM 2.5,  
15 and specifically addressing the proportion of diesel  
16 exhaust. It actually goes from four percent from the PM 10,  
17 to a little over seven percent for the portion of 2.5. You  
18 would think it would go much higher, but from your Emissions  
19 Inventory, that's what we've come up with, seven percent.  
20 But we're going to add that into our next draft, the  
21 discussion on the proportion, realizing that over 93 percent  
22 of the diesel exhaust particle is smaller than one micron,  
23 so we're all going to --

24           MS. SHIROMA: Throughout the report.

25           MR. KRIEGER: Throughout the report we're going to

1 clarify.

2 DR. BLANC: Then it would also, I think, be  
3 important to, in the same sense that people are asking you  
4 to put in caveats about how there may be uncertainty in some  
5 of your estimates, I think it would be important for you to  
6 put in caveats, and in fact, your estimates may be overly  
7 conservative, because as emphasis, which is to ultrafine  
8 particulate effects, that is to say the effects of  
9 particulates not only that are less than one micron, but  
10 less than .1 micron, and as the proportion of those  
11 particulates becomes more important from diesel, then in  
12 fact you may be overly conservative in the contribution of  
13 the exposure.

14 And I think if you're going to be forced to put in  
15 caveats about your uncertainties in one direction, I think  
16 you should put in your caveats in the other direction as  
17 well.

18 MR. KRIEGER: Okay.

19 CHAIRMAN FROINES: The percentage of --

20 DR. BLANC: I would actually -- let me follow up  
21 one thing.

22 I would actually appreciate some modeling or a  
23 table in your revised version which said if we look at  
24 ambient particulate air pollution at this level, this is the  
25 proportion and if you look at it at this level. Because of

1 the particle distribution I think you can do that and you  
2 can look at two models, one with the pre-1993 fuel and one  
3 with the post-1993 fuel.

4 If you want, I think if you drew a graph, what you  
5 would see is as you talk about the size of the particle that  
6 you care about, the proportion contributed by diesel exhaust  
7 will go up linearly.

8 So if our health concerns in the second section,  
9 in fact, are to a certain extent related to ultrafine  
10 particulate, then indeed the issue of the diesel fuel  
11 becomes more important, not less important.

12 CHAIRMAN FROINES: That's particularly true if you  
13 consider the cancer effects and non-cancer effects become --  
14 is an issue which I think will come up today, so we won't  
15 start talking about it now, but the implication of what Jim  
16 and Paul are saying is that we need to understand better the  
17 nature of that size distribution and then to think later  
18 about its relevance to health.

19 MR. KRIEGER: Thank you. Yes, we will look at  
20 that, put that table in our report, in our analysis.

21 DR. SEIBER: Are you ready to wrap up the exposure  
22 part?

23 MR. KRIEGER: Yes.

24 DR. SEIBER: I have a question that really kind of  
25 cuts across the exposure and health, so this -- I'm going to

1 bring it up now.

2 If you sum up what's known on the concentrations  
3 of individual chemicals and diesel exhaust, can you account  
4 for the observed biological effects of the total mix? I  
5 think the answer in the report is, no, you can't, but  
6 there's some missing fraction.

7 Is there any hope that we can get to a better  
8 material balance, so to speak, for want of a better term, in  
9 getting back the individual chemicals?

10 We had a hard time with environmental tobacco  
11 smoke. I'm sure we're going to have a hard time with this  
12 one too.

13 Could you comment on whether we have a reasonable  
14 chance at making some kind of a summation based on  
15 individual chemicals or is it just too far separated, the  
16 total effect as opposed to what you would sum from  
17 individual compounds?

18 MS. SHIROMA: I think that -- and you'll hear more  
19 from the OEHHA presentation, that in looking at the health  
20 studies, in looking at a causal effect from the exposure to  
21 diesel exhaust as a complex mixture.

22 Now, on the other hand, as we go about updating  
23 and revising our diesel exhaust exposure, it's particularly  
24 at a point when we take a look at the CE CERT data, which is  
25 looking at, I believe, at least 150 different constituents.

1 It will be very very insightful to see what constituents are  
2 there before and after and amounts and so forth. And  
3 perhaps an exposure assessment looking at those specifics  
4 can be fruitful.

5 But, again, the health studies are looking at  
6 exposure to diesel exhaust as a complex mixture. So I will  
7 leave it to George to discuss that future.

8 DR. SEIBER: Sort of like doing a principal  
9 component analysis where you go back and you say, okay, I  
10 can explain 90 percent of my effects with these seven  
11 compounds or something. And my guess is it's going to be  
12 very difficult, but I just wondered if you're thinking along  
13 that line and trying to fill in some of those gaps.

14 DR. GLANTZ: You know, the way I read that, I  
15 mean, I agree with Jim, I think that's a worthwhile thing to  
16 do, but I wouldn't be surprised that the reason that the  
17 toxicity you see associated with the diesel exhaust is more  
18 than the sum of the individual chemicals may reflect  
19 interaction effects. And the fact that when you have two or  
20 three compounds present, the net effect is more than the sum  
21 of the effects of the compounds separately. That's another  
22 possibility.

23 DR. SEIBER: You have got the particle itself.

24 DR. GLANTZ: Right. Right.

25 CHAIRMAN FROINES: Well, if I can weigh in here,

1 it just so happens in my bag of tricks here, I've a paper by  
2 Paul Howard and Fred Beeland (phonetic) from the National  
3 Center for Toxicologic Research, called "The Effect of  
4 Co-Pollutants on Metabolism of DNA Binding of Carcinogens,"  
5 and in this paper they show that pyrene and nitropyrene will  
6 enhance DNA formation, DNA adduct information with  
7 1,6-dinitropyrene. And some other compounds actually reduce  
8 the amount of DNA adduct formation.

9           So it's clear, it seems to me, because these  
10 things require metabolic activation and there are  
11 competitions for enzyme sites that there will be potential  
12 competitive interactions or other types of interactions that  
13 might occur in a toxicokinetic context, but having said  
14 that, and being aware that those interactions are possible  
15 and do exist and there's evidence to indicate that, it still  
16 seems to me a useful exercise to do what Paul and Jim are  
17 saying, which is to look at the individual compounds, assume  
18 additive toxicities and do some risk calculations based on  
19 the compounds and the concentrations that we're aware of.

20           And that I think that we're dealing in a  
21 epidemiologic context with the whole ball of wax, so to  
22 speak, but it seems to me that that's an exercise that at  
23 least acknowledges the fact that we do know something about  
24 the identities of rather potent carcinogens in these  
25 mixtures.

1 DR. FRIEDMAN: What you would do if these  
2 calculations, based on individual components, came out quite  
3 different from what the observed -- the data that one  
4 observes from the whole exposure to the whole exhaust  
5 product would be? I mean, what does this add to our  
6 understanding if it came out quite different, what would you  
7 believe?

8 CHAIRMAN FROINES: That's hard to say, I think.

9 DR. SEIBER: What I think John meant to include  
10 the particles too, not only the chemical compounds, but as  
11 it was pointed out, the shifting distribution of particles.

12 And then the other confounder is you've got vapor  
13 versus particle bound, and it's very difficult. What would  
14 it do, let me turn it around and say if you were able to  
15 explain the toxicity with six or eight or ten factors, then  
16 that would in the risk management phase somebody at some  
17 point could say, okay, get rid of these six or eight and  
18 we've cleaned up our act. It might help in that phase.

19 And, I don't know, I think it kind of helps us  
20 understand the dose response dilemma if we know that it's  
21 benzene or anthracene or benanthracene, we know something  
22 about their dose response behavior already. I think it adds  
23 to the ability to make a good decision.

24 CHAIRMAN FROINES: In a scientific context, I  
25 think that this committee that's looking at the differences

1 in compounds and it will report, the Technical Advisory  
2 Committee will report in December, that's important work,  
3 because if there are significant changes occurring in the  
4 chemical constituents, that clearly has implications for  
5 human health risk.

6 And so it's useful to know what the differences  
7 may be that we're seeing between earlier diesel and more  
8 current, and one may not be able to make -- use that in any  
9 kind of final risk estimation, but it gives you a better  
10 sense of what we're -- what we have out there to address.

11 So I don't think it's a magic bullet, but I think  
12 it's an interesting piece of information.

13 DR. FRIEDMAN: That helps me understand. I agree  
14 with both of you. I think that makes good sense.

15 CHAIRMAN FROINES: I'm told we need to take a  
16 brief break to deal with some technical difficulties.

17 Is that correct?

18 Shall we take a ten-minute break?

19 (Thereupon a short recess was taken.)

20 CHAIRMAN FROINES: Why don't we get going. We  
21 have some people who have to leave, so I'd rather move it  
22 ahead as soon as possible.

23 Genevieve, we did not entirely finish your  
24 presentation, I think, the schedule.

25 MS. SHIROMA: I will do the schedule after George

1 and Michael are done.

2 CHAIRMAN FROINES: So for the panel the last page  
3 of the document deals with schedule, and so that will come  
4 up after the OEHHA presentation.

5 You might introduce yourself and Michael for the  
6 people who are new to the panel.

7 DR. ALEXEEFF: Good morning, members of the panel  
8 and members of the public and the audience. I am George  
9 Alexeeff, and I'm chief of the Air Toxicology and  
10 Epidemiology Section in the Office of Environmental Health  
11 Hazard Assessment and Cal EPA.

12 And with me is Dr. Michael Lipsett, who is a  
13 public health medical officer.

14 And also with me are a number of other staff  
15 members who helped prepare our diesel exhaust report, and as  
16 we go through the report if there are questions that I feel  
17 I can't answer, I'll try to draw on the staff to help to see  
18 if we can get an answer for you today on some of those  
19 questions.

20 Our presentation is kind of lengthy. There's  
21 several parts.

22 The first part is an overview of what is in the  
23 document, simply just to indicate what are the major points  
24 that we make in the document, and how we got to the current  
25 document.

1           The second portion of our presentation will be to  
2   discuss the key comments or issues that have been raised in  
3   the public comments submitted and to simply try to elucidate  
4   what those issues are, because the issues raised are very  
5   complex.

6           The next slide, please.

7           In June of '94 we released a draft document and  
8   there was a public comment period that was conducted, as  
9   well as workshops.

10          We reviewed the public comments, discussed the  
11   issues with many of the commentators. We held a joint  
12   international workshop and Dr. Kathy Nauss from HEI is here,  
13   who actually helped put it all together. That was in  
14   January of '96.

15          And then we -- I can't see from here. I'm sorry.

16          And then we conducted additional analyses and made  
17   changes in response to the comments in the workshops.

18          Next slide.

19          In the draft that we released in May, it was the  
20   Scientific Review Panel draft that was the intent. In that  
21   draft we updated the literature, conducted a literature  
22   search, added some new studies. We reported it closely with  
23   the US EPA, consulted the Health Effects Institute, NIOSH,  
24   Dr. Crump, and others.

25          As we mentioned, a workshop has held July 1st. We

1 received public comments and I'll be discussing the key  
2 public comments a little later.

3 Next slide.

4 I'd like to just mention the Health and Safety  
5 Code from which what we're operating here, because I've had  
6 a number of questions from various sorts.

7 The Health and Safety Code requires us to evaluate  
8 the health effects of candidate toxic contaminants. We  
9 prepare recommendations, consider all the scientific  
10 available evidence. We assess the availability and quality  
11 of the data on the health effects, including the potency and  
12 the mode of action. We estimate the levels which may cause  
13 or contribute to adverse effects.

14 Next slide.

15 Now, where it can be established that a threshold  
16 exists, the estimate shall include both of the following  
17 factors. So there's a threshold.

18 The exposure levels below which no adverse effects  
19 are anticipated --

20 You know, all the slide is not showing on the  
21 projector here and is it too far back? Okay.

22 CHAIRMAN FROINES: You notice that Paul Blanc is  
23 the occupational physician, so he knows about workplaces.

24 DR. BLANC: I was the AV nerd in junior high  
25 school.

1 (Laughter.)

2 DR. ALEXEEFF: I can pretty much see from here  
3 now.

4 So where it can be established that a threshold  
5 exists, the estimate we provide shall include both the  
6 following factors. The exposure level below which no  
7 adverse effects are anticipated and an ample margin of  
8 safety which accounts for the variable effects in the  
9 heterogeneous population exposed to the substance under  
10 evaluation which they may experience, the uncertainties  
11 associated with the applicability of the data to human  
12 beings, and the completeness and quality of the information  
13 available on the potential human exposure to the substance.

14 And this margin of safety we general consider as  
15 uncertainty factors that we add in.

16 Next slide.

17 In cases where there is no threshold of  
18 significant adverse health effects, the office shall  
19 determine the range of risk to humans resulting from current  
20 or anticipated exposures to the substance.

21 This is what we generally do for carcinogenic  
22 substances. We also did it for the health effects of lead.

23 I'd like to just briefly --

24 DR. BLANC: George, can I stop you there for a  
25 second?

1           The implication of what you just said, since lead  
2   was the only non-carcinogenic toxic air contaminant prepared  
3   for the criteria document report, is that correct?

4           DR. ALEXEEFF: Well, actually it's a slight  
5   difference. Actually lead is carcinogenic, but the key end  
6   point, where are the non-cancer end points, and it's the  
7   only document which really focuses on non-cancer health  
8   effects.

9           Yes.

10          DR. BLANC: In that one you also treated a  
11   substance that from the point of view of not having a  
12   threshold?

13          DR. ALEXEEFF: Yes.

14          DR. BLANC: So there's never been a document where  
15   a material was evaluated for which there was felt to be a  
16   threshold?

17          DR. ALEXEEFF: There were two documents,  
18   acetaldehyde and perchloroethylene, which the primary  
19   effects of those compounds were cancer. And the cancer risk  
20   assessment was assumed to have no threshold.

21          In those documents as well they also provided  
22   non-cancer end points and in that we assumed a threshold and  
23   incorporated uncertainty factor to estimate the level that  
24   would not effect the non-cancer health effects.

25          So we have had documents which have discussed

1 non-cancer health effects and two chemicals have developed  
2 health levels. We will be bringing to the Scientific Review  
3 Panel in the future some documents with a couple hundred  
4 compounds where we do this kind of analysis, but up to now  
5 we have not discussed it extensively in the Scientific  
6 Review Panel.

7 DR. BLANC: But there's no, from what you've said  
8 in your previous slide, there's no legislative imperative  
9 for having to deal only with primarily non-threshold issues?  
10 You have guidelines for how to deal with --

11 DR. ALEXEEFF: Yes.

12 DR. BLANC: Do you perceive some institutional  
13 reluctance to embark on assessments in the non-threshold --  
14 in the threshold area?

15 DR. ALEXEEFF: No. None at all. Most of the  
16 other work in our department has been in the non-threshold  
17 area for a lot of the health standards that we develop for  
18 water and in the arena of ambient air quality standards.

19 So there's no reluctance. It was simply our focus  
20 for those chemicals was carcinogenicity, and that seemed to  
21 be the end point that would drive the risk assessment and  
22 that's simply where the focus was.

23 There's no reluctance. We were simply trying to  
24 deal with the health effects most important to the public  
25 health.

1 DR. BLANC: So it was a perceived public policy  
2 reason that led to that focus historically?

3 DR. ALEXEEFF: As we brought documents to the  
4 panel, if issues are raised regarding non-cancer health  
5 effects, we tried to address them in the document. So it  
6 wasn't -- I wouldn't even consider it a policy. It was  
7 simply as we went through each chemical we tried to  
8 identify the health effects that were the most important.  
9 And the carcinogens -- for the carcinogens except for lead,  
10 it appeared that the cancer effect was driving the risk  
11 assessments.

12 DR. BLANC: John, am I being too obscure?

13 Do you have any historical comments from the  
14 panel's point of view?

15 CHAIRMAN FROINES: No. I think that it's an  
16 extremely interesting question. For example, in the  
17 occupational standards for formaldehyde, you know that the  
18 levels where respiratory and irritative effects occur, occur  
19 below that which you would think about when you would  
20 regulate for carcinogenesis.

21 So, in fact, with formaldehyde you could actually  
22 in a occupational context, you might set standards that were  
23 lower than the non-threshold phenomenon of cancer.

24 So the issue, I think, is extremely important.

25 And it will come up today when we talk about non-respiratory

1 effects.

2 I want to talk about Andy Saxon's work on IG  
3 mediated rhinitis and asthma.

4 So there are a number of issues that will come  
5 before us on diesel that I think we have to -- we want to be  
6 careful not to over-focus the debate on the narrow issue of  
7 the dose response and all the uncertainties, because we may  
8 lose the forest of diesel toxicity for the little trees of  
9 the debate over the rat lung tumor, for example.

10 So I think it's important what -- I think what  
11 you're saying is extremely important with respect to diesel  
12 exhaust.

13 DR. ALEXEEFF: So --

14 CHAIRMAN FROINES: Is that in the same vein that  
15 you were raising?

16 DR. BLANC: (Nods head.)

17 DR. ALEXEEFF: It's simply an area that we  
18 definitely want to get into and any assistance from the  
19 panel is welcomed.

20 As I mentioned also, we will be bringing documents  
21 to the panel over the next year. The intent right now is to  
22 bring one document which will look at health effects for 50  
23 compounds, acute health effects. And another one that could  
24 look at chronic health effects for another 120. Those are  
25 in preparation and will probably be coming to the panel over

1 the next year.

2 We're trying to go back and catch up on some of  
3 the chemicals. We focused on cancer and didn't deal with  
4 the non-cancer health effects.

5 So if we can handle it all in one document, it  
6 will help us.

7 Okay. I'll briefly touch on the major topic  
8 areas, the toxicokinetics. Some of the issues that came out  
9 of these major topic areas, toxicokinetics, the non-cancer  
10 health effects, the quantitative risk assessment we  
11 conducted on that, genotoxicity and mechanisms of action,  
12 the cancer findings on animals in occupational studies and  
13 cancer quantitative risk assessment.

14 The key point in toxicokinetics is we examined  
15 lung particle deposition and retention and clearance and  
16 chronic exposure of rats to concentrations above 2.5  
17 milligrams a cubic meter can result in particle accumulation  
18 due to the exceedance of clearance capacity.

19 We evaluated the published data and mathematical  
20 models for retention and we used empirical lung burden data  
21 for the animal quantitative risk assessment.

22 For the non-cancer health effects we reviewed some  
23 of the occupational exposure studies. We felt that the data  
24 we saw was insufficient to calculate a reference level.

25 In terms of the animal data, there are several

1 studies reporting inflammatory histological changes and we  
2 chose one which reported above 460 micrograms per cubic  
3 meter in rats exposed for 30 months.

4 And the next slide.

5 The next slide summarizes our non-cancer risk  
6 assessment on animal data and also compares it with that for  
7 US EPA and the World Health Organization.

8 You can see that each of the organizations focused  
9 in each case on rat models, mostly on the Ishinishi study.  
10 The primary end point was pulmonary hyperplasia.

11 The method of analysis was to establish the No  
12 Observed Adverse Effect Level, and then to calculate the  
13 Human Equivalent Concentration, the HEC.

14 And then from that to add an uncertainty factor,  
15 labeled UF, and then develop the reference level.

16 And you can see there are a couple of different  
17 methodologies employed. One just used a No Observed Adverse  
18 Effect Level and larger uncertainty factor. Other  
19 methodologies used what's called the Benchmark  
20 Concentration, which is where you use the slope of the dose  
21 response curve to establish the No Observed Adverse Effect  
22 Level, and then add a human concentration.

23 And then in our analysis we focused on the  
24 Benchmark Concentration results and looked at different  
25 percentages of response using a couple different models.

1           If we look at the next slide, please.

2           DR. BYUS: I have --

3           DR. SEIBER: I have a question. Go ahead. You're  
4 closer.

5           DR. BYUS: My question is back to this issue of  
6 recurring issue with particle size. You may call it diesel  
7 exhaust, maybe more specific in various areas, but it turns  
8 out that this is the old diesel exhaust, with perhaps not as  
9 fine of a particle, will that affect the conclusions you've  
10 drawn from these kinds of studies?

11           In any event, you want to really specify  
12 throughout the document what the particle distribution is  
13 for the exhaust of all of these studies, so that one can  
14 make the comparison later or sooner, if it needs to be done.

15           DR. ALEXEEFF: Yeah. I agree with you.

16           I think we tried to do that in our summary tables,  
17 but I think that is something we would definitely like to  
18 do.

19           DR. BYUS: That's all.

20           DR. SEIBER: My question, George, was on the  
21 previous overhead. You said that the data are insufficient  
22 to calculate a reference level, and then -- that's all  
23 right. Then on this one you listed some reference levels;  
24 right?

25           DR. ALEXEEFF: I'm sorry. I was referring to the

1 human data.

2 DR. SEIBER: Okay. I just want to make sure I  
3 understand.

4 DR. ALEXEEFF: I'm sorry. We felt that the human  
5 data, there was human information on various health effects.  
6 However, we were unable to find a quantitative response that  
7 would be applicable to the concerns that we have, where we  
8 could extrapolate to an environmental exposure.

9 DR. SEIBER: So all this is based --

10 DR. ALEXEEFF: So this is based upon the rat.

11 DR. BLANC: You're talking about chronic  
12 responses?

13 DR. ALEXEEFF: Chronic response.

14 We didn't evaluate acute health effects, so that  
15 would be an area if there was information that we could add.

16 DR. BLANC: Well, isn't there information from a  
17 series of experimental human exposure studies?

18 DR. ALEXEEFF: I'm not sure if it's quantitative  
19 or not.

20 DR. BLANC: By definition, they're quantitative,  
21 because they are controlled human exposure studies.

22 DR. ALEXEEFF: Well, the ones that -- let me see.  
23 We have just a small discussion of this in our document.

24 And, well, maybe there are studies that provide --  
25 we'd be happy to include any other studies that you're aware

1 of, but the ones we were aware of when we were finalizing  
2 this report were inhalation of small amounts of the  
3 particles in examining immunological responses. The actual  
4 quantitation, we didn't see it. From the studies we saw, we  
5 couldn't see how to extrapolate them to an ambient  
6 concentration. Maybe there is --

7 DR. BLANC: Well, I can see how it would be a  
8 problem if you were trying to look at chronic health  
9 effects, because you were -- the focus of the review in the  
10 draft document was particularly on cohorts of miners with  
11 exposure to diesel exhaust from mining equipment. And  
12 chronic health effects, such as chronic productive cough,  
13 chronic bronchitis. I'm not even sure that I saw pulmonary  
14 function data, but there might have been some.

15 But there are experimental and even actually field  
16 studies where people have looked at cross-changes in  
17 relation to diesel exhaust exposure, and those studies do  
18 quantify the exposure.

19 And perhaps if you were limiting yourself to --  
20 perhaps that statement about the lack of quantifiable human  
21 data refers more to the difficulties of the chronic cohort  
22 studies. But I do believe there are at least limited acute  
23 inhalation data.

24 DR. ALEXEEFF: We'll be happy to look at that.

25 DR. BLANC: Do you agree with the --

1 DR. LIPSETT: I think -- I think you're referring  
2 to some of the studies that are being done in Scandinavia?

3 DR. BLANC: Yes.

4 DR. LIPSETT: Yeah. I don't follow -- my  
5 involvement really was with the meta-analysis, but I  
6 understand that there have been studies where they have  
7 looked not at only lung function, but indicators of  
8 inflammation in some of those studies.

9 But I think that when this document was drafted,  
10 I'm not sure that any of those have been published at that  
11 point, but we can certainly contact Dr. Sandstone to find  
12 out what the state of his research is.

13 DR. ALEXEEFF: We'd be happy with any suggestions  
14 you have.

15 The couple of health levels I mentioned  
16 previously, there were non-cancer and even the lead levels  
17 were all for chronic exposure, so we really haven't tackled  
18 acute health effects in this program, substantially, other  
19 than qualitatively.

20 DR. BLANC: But correct me if I'm wrong, if you  
21 were looking at toxic air pollutants that might induce acute  
22 decrements in the lung function over a population which  
23 include people who already have borderline lung function  
24 that would meet your standard for something that you'd be  
25 concerned about.

1 DR. ALEXEEFF: Yes. I'm almost certain it would  
2 meet the standard. It's not a restriction on time.

3 DR. FRIEDMAN: Can you explain a little bit more  
4 of the uncertainty factor, what is that used for? Is that  
5 to take a fraction of the dose and you have a no effect and  
6 then you apply that to get an even lower level just in case  
7 you're wrong? And why some people choose 30, 25, 100, how  
8 that's arrived at.

9 DR. ALEXEEFF: Sure. The uncertainty factors --  
10 the uncertainty factor approach has basically come out of  
11 roughly the 1970s, the drinking water standards, and various  
12 National Academy of Science reports. That's where the whole  
13 philosophy came from.

14 And in those studies and analysis -- and also  
15 related to food standards, trying to develop acceptable  
16 daily intakes -- and in those standards they were looking at  
17 two issues in extrapolating from animals to humans.

18 The difference in sensitivity between the average  
19 animal in a study who are fairly very specifically defined,  
20 kept -- other than the exposure, kept healthy, well-fed and  
21 comparing it to the average human, which -- the average  
22 human. And it was generally thought that a factor of ten  
23 would deal with the variability between animals and humans.

24 Now, that's not to say there aren't cases where  
25 the variability is less, but in a public health standpoint,

1 it was thought that ten would deal with both issues of  
2 differences in metabolism, which are generally considered  
3 the toxicokinetic issues, and differences in the  
4 susceptibility of the response between the two populations,  
5 and which is generally considered pharmacodynamics.

6 Now, so that's one factor of ten.

7 The other factor of ten generally refers to the  
8 differences between the average healthy adult and the type  
9 of individual that Dr. Blanc was referring to, the  
10 susceptible individual for the end point you're concerned  
11 about.

12 And for many of the analyses that have been done,  
13 the variability in the human population is often -- well, it  
14 can range anywhere from two to over a hundredfold, just the  
15 variabilities, so it's thought that a tenfold, if you can go  
16 from the average to the most susceptible, a tenfold will  
17 account for most of the differences in the human population.

18 So those are basically the starting points that  
19 you -- that one thinks about when one does this analysis.

20 If one has more information that will help reduce  
21 the uncertainty, you can reduce the uncertainty factor and  
22 that's generally the approach that's used.

23 So in these cases, since we were -- we had --  
24 those uncertainty factors are generally used for when you're  
25 starting from an animal study with not much information, to

1 a human population where you actually know what's going to  
2 happen when they're exposed to this chemical.

3 So as you have more information, you can reduce  
4 the uncertainty factor.

5 DR. GLANTZ: I don't know if you're misspeaking or  
6 what, but you've been saying tenfold. Do you mean  
7 hundredfold?

8 DR. ALEXEEFF: For example, if you look at the  
9 WHO, W-H-O, the NOAEL approach, that's the standard approach  
10 we were referring to where the uncertainty factor is 100 and  
11 the uncertainty factor is based upon a tenfold factor from  
12 animals to humans, and a tenfold factor within humans.

13 DR. GLANTZ: Okay.

14 DR. ALEXEEFF: The addition of either an improved  
15 approach over the NOAEL, such as the benchmark dose, the  
16 human equivalent concentration, allows one to reduce the  
17 uncertainty in the extrapolation and therefore the  
18 uncertainty factor reduces.

19 DR. GLANTZ: Just to clarify it then, when you  
20 talk about the uncertainty factor of ten, it's ten for  
21 susceptibility and ten for animal to human, and you multiply  
22 them together to get a hundred?

23 DR. ALEXEEFF: Right.

24 DR. GLANTZ: So the standard uncertainty factor  
25 would be a hundred and US EPA pulmonary hypertension is only

1 30, because it's felt that there's less than the usual  
2 amount of uncertainty?

3 DR. ALEXEEFF: Correct.

4 DR. FRIEDMAN: Thank you. I appreciate that.

5 CHAIRMAN FROINES: Which is the benchmark approach  
6 here, George?

7 DR. ALEXEEFF: The ones that say BMC.

8 CHAIRMAN FROINES: BMC.

9 DR. ALEXEEFF: Benchmark concentration approach.

10 CHAIRMAN FROINES: And then the ones below for  
11 pulmonary hyperplasia are using the probit analysis?

12 DR. ALEXEEFF: We're using the two forms of  
13 benchmark, a probit and a Weibull.

14 CHAIRMAN FROINES: I got it. I got it.

15 I think we should try -- and I'm worried because  
16 Stan has to leave at noon, and so we should write down  
17 questions for a while to try and move it to a place where  
18 Stan can hear as much as possible.

19 DR. ALEXEEFF: Next slide.

20 This just summarized what was in that slide over  
21 there in terms of the range of the levels.

22 Go to the next slide.

23 Just briefly go over the genotoxicity evidence.

24 There is a lot of evidence on the genotoxicity of  
25 diesel exhaust, whole diesel exhaust and especially diesel

1 exhaust extracts are mutagenic in bacterial assays.

2 Particles in extracts are mutagenic in mammalian  
3 cell assays.

4 Extracts are reported mutagenic in cultured human  
5 lymphoblasts.

6 Next slide.

7 DNA extracts induced chromosomal aberrations in  
8 mammalian cell assays. However, in vivo studies are  
9 negative.

10 Diesel exhaust particle and extracts induce sister  
11 chromatid exchange in mammalian cell assays, but results in  
12 vivo are mixed.

13 Treatments of mammalian cells in vitro have  
14 resulted in increase DNA adduct formation.

15 Rats and monkeys exposed to whole diesel exhaust  
16 have shown an increase in DNA adduct formation.

17 And there are increased levels of DNA adducts have  
18 been reported in workers exposed to diesel exhaust.

19 Again, this slide just summarizes, I mentioned 2.5  
20 about the clearance.

21 CHAIRMAN FROINES: How many studies have there  
22 been positive findings in DNA adducts in the human  
23 population?

24 DR. ALEXEEFF: Do you know?

25 FROM THE AUDIENCE: Two studies.

1 DR. ALEXEEFF: Two studies.

2 FROM THE AUDIENCE: Both Scandinavian --

3 DR. ALEXEEFF: Why don't you come up to the  
4 microphone and introduce yourself.

5 This is Dr. John Budroe.

6 DR. BUDROE: There's been two studies, both of  
7 them Scandinavian, one with truck drivers and garage workers  
8 and one with bus drivers, showing approximately -- the most  
9 exposed workers maybe a twofold increase in DNA adducts over  
10 controls.

11 CHAIRMAN FROINES: They look at adducts  
12 persistence?

13 DR. BUDROE: No, they didn't. They were taking  
14 samples of the peripheral blood lymphocytes, and just doing  
15 an immediate sampling.

16 CHAIRMAN FROINES: Thanks.

17 DR. ALEXEEFF: Okay.

18 DR. BLANC: John, that was your written-down  
19 question?

20 (Laughter.)

21 CHAIRMAN FROINES: Prerogative of the chair.

22 DR. ALEXEEFF: I mentioned 2.5 in toxicokinetics  
23 as affecting the clearance. You can see it there. Above  
24 2.5 in the rat lung, there are clearly positive studies.  
25 There are five important studies that have been consistent.

1 Below 2.5 or between the range .35 to 2.5, there's not  
2 significant increases generally.

3 Hamster studies in terms of the cancer findings  
4 are negative.

5 And most of the mouse studies have been reported  
6 negative.

7 And similar to the World Health Organization,  
8 US EPA presented a comparative analysis of these rat studies  
9 and used our standard linearized multi-stage approach. We  
10 also used a biologically-based dose response analysis of the  
11 modeling data.

12 And the range of risk we obtained from the animal  
13 data was one times ten to the minus five, to three times ten  
14 to the minus four.

15 DR. FRIEDMAN: Is that for lung cancer in humans?

16 DR. ALEXEEFF: That's an estimate in lung cancer  
17 in humans, based on the rat data.

18 There are many sources of uncertainties with  
19 animal studies. The general extrapolation from rats to  
20 humans, the relative importance of mechanisms of action for  
21 the rat lung, how genotoxicity fits in.

22 The rat lung has shown a generalized response to  
23 inert. We'll be discussing that in the comments.

24 The role of particulate overload, chronic  
25 inflammatory response, cell proliferation and oxidative DNA

1 damage is a major uncertainty.

2 The choice of dose response models and the  
3 presence of a threshold.

4 DR. SEIBER: You're going to come back to that  
5 discussion --

6 DR. ALEXEEFF: We'll come back to that when we  
7 discuss the comments, mostly from Dr. Mauderly. We will go  
8 into that in detail.

9 To briefly touch on the epidemiology information,  
10 there are 47 occupational cohort case control studies,  
11 including truck drivers, railroad workers, dock workers,  
12 transport workers, equipment operators.

13 And we conducted both a qualitative and a  
14 quantitative assessment of this literature.

15 Qualitatively in the report we looked at  
16 consistency of the data, the strength of the findings,  
17 possibility for bias or chance of the associations, evidence  
18 of the exposure response, the temporality of the  
19 associations, and the biological plausibility.

20 Are you going to go into this, Michael?

21 DR. LIPSETT: Go ahead.

22 DR. ALEXEEFF: Should I mention this now, or  
23 should we just talk about it later?

24 CHAIRMAN FROINES: Go ahead.

25 DR. ALEXEEFF: In terms of the consistency I think

1 you'll see that generally the results are fairly consistent  
2 across the studies.

3 The strength of the findings, the strength is  
4 considered weak, that is to say the relative risk is not  
5 very large, in about the 1.4 range.

6 The possibility of bias or chance association with  
7 this broad range of studies, both smoking ingested and  
8 nonsmoking ingested, the chance of bias, it seems to be very  
9 small.

10 Evidence of exposure response, that's been of  
11 interest in this, and the evidence is weak and has been  
12 under great question. We'll be discussing that later.

13 Temporality of the associations, that refers to  
14 whether enough time was given to measure the response,  
15 that's generally good.

16 And the biological plausibility, well, as you can  
17 see there's a lot of information on genotoxicity that  
18 suggests plausibility.

19 We'll also discuss the rat information as well.

20 DR. FRIEDMAN: When you talk about bias, does that  
21 include confounding?

22 DR. ALEXEEFF: Yes, Michael will be talking --  
23 Dr. Lipsett will be talking about that.

24 CHAIRMAN FROINES: I think just to put things in  
25 context, George is a toxicologist, so when he looks at

1 exposure response he's thinking about putting rats into  
2 boxes.

3 Remember, that when you do exposure response in a  
4 human population, you're dealing with occupational exposures  
5 that are notoriously difficult.

6 So we have to keep in mind what's weak and what's  
7 not weak in terms of the context of the nature of the  
8 studies that are being conducted.

9 DR. ALEXEEFF: Thank you.

10 DR. GLANTZ: Could I just say, thank you for that  
11 written question.

12 (Laughter.)

13 DR. GLANTZ: On behalf of the panel.

14 Can I -- I actually did want to say one thing.

15 I actually was a little -- in reading the report,  
16 I was little bit bothered by what you said on this. Not --  
17 it was all kind of standard stuff, but I actually think that  
18 the evidence is stronger for a causal relationship than you  
19 put forward, and this -- because these standards that you  
20 outline here are sort of the standard standards that  
21 epidemiologists use for drawing causal conclusions based  
22 just on observational studies.

23 And I think that there is actually quite a lot of  
24 evidence on mechanism and biological plausibility, so rather  
25 than just sort of saying, well, there's a weak association,

1 but it's biologically plausible, it seems to me it should be  
2 saying that there's a lot of evidence of toxicity in terms  
3 of carcinogenicity and the epidemiological studies pick that  
4 up. I mean, it's a difference in emphasis.

5 And one of the things you had in there, which  
6 people say, which just drives me crazy, is, well, the  
7 relative risk is below two, and that makes it weak.

8 That two number to me, is just the number that's  
9 been pulled out of the air by various people. In fact, some  
10 years ago the tobacco companies were doing polling among  
11 scientists to see how long they could sell it to for ETS.

12 And I think the fact that you don't have a huge  
13 increase in individual risk doesn't mean that there's not a  
14 relationship there. It just means that the risk increases  
15 around what you said 1.4, which to me for an environmental  
16 toxin is pretty high.

17 When you look back at a lot of other compounds  
18 that have gone through this process, we have made decisions  
19 and recommendations relating to risk assessments where there  
20 was no epidemiological data.

21 And so to me when you look at the evidence on  
22 diesel, and there are a lot of genuine issues that need to  
23 be addressed in terms of the epidemiology, but it seems to  
24 me that it's actually pretty strong.

25 And what you have here is a reasonably good case

1 based on the animal exposure studies where you can control  
2 everything that there is something going on.

3 And the fact, given all the problems of assessing  
4 exposure in the real human studies, which are -- you were  
5 just getting into, the fact that you can see something  
6 that's as consistent as you see in the epidemiological  
7 studies, I think is pretty strong.

8 And so I would urge you in redoing the report to  
9 kind of be a little more assertive about the value of the  
10 epi studies and rather than just dismissing the animal  
11 toxicology or treating the animal toxicology sort of one  
12 point that addresses the biological plausibility question  
13 that the statisticians have raised is to say really that's  
14 established a certain amount of -- that's established a  
15 certain amount of evidence for carcinogenicity, at least in  
16 some environments, and we can detect that reasonably  
17 consistently in human exposure studies.

18 So and that two I would really like to see --  
19 there's two places I found where you talk about it, I  
20 just -- that makes me crazy. I think you should just take  
21 that out. It's a silly, arbitrary number.

22 If you go read the literature where people have  
23 discussed that, it's clearly the issue of how high the  
24 relative risk is, has clearly been done, if it's only in a  
25 vacuum, if there's no really meaningful toxicological

1 evidence.

2           You've got a lot of toxicology, you've got a lot  
3 of epidemiological studies.

4           So I've been quiet for a long time. Now just  
5 write it down --

6           DR. BLANC: Stan, do you want to, in context just  
7 comparatively to the relative risk of cardiovascular disease  
8 associated with smoking for example or the relative risk of  
9 bladder cancer associated with smoking too, to  
10 epidemiological associations which are absolutely  
11 established, what's the relative risk?

12           DR. GLANTZ: The risk for active smoking in heart  
13 disease is around two to four and I don't know the bladder  
14 numbers.

15           But, I mean, we've just gone through this whole  
16 same debate with secondhand smoke and the risks that you get  
17 are sort of comical.

18           There's a lot of environmental toxins where if you  
19 even have epidemiological data, which often we haven't had,  
20 you're getting numbers of this range.

21           And the fact -- and I think that the meta-analysis  
22 that you presented at the workshop and it's in the report is  
23 actually quite convincing that there is real association  
24 that you can pick up in that epidemiology, and that's  
25 completely consistent with what you would expect of some of

1 the toxicological studies and I think that's the way it  
2 ought to be framed.

3 This two number, it's just something that's a  
4 public relations number. There's no science to justify  
5 that.

6 CHAIRMAN FROINES: I just want to say one thing  
7 and stress it. I think that the consistency across  
8 different biological end points is really important to  
9 emphasize, because I worry that we break things down into  
10 little trees and we look at each one separately, so we say  
11 well, there's this genotoxicity, there's this DNA adducts,  
12 and these animals, and then there's epidemiology. And  
13 actually they represent a total picture of the toxicity of  
14 these compounds in a collective sense. And I think it's a  
15 mistake not to understand it that in context.

16 DR. GLANTZ: Yeah. I mean, that's actually the  
17 exact same point I'm making is I think we need to really  
18 emphasize the sort of completeness of the picture that you  
19 have here, and rather than looking in each little bit of  
20 evidence kind of in isolation. I realize that  
21 epidemiologists think about epidemiology, the toxicologists  
22 think about the toxicology.

23 But I think that the thing that makes this  
24 document -- I mean, I don't think it's done yet. I think  
25 there's some very important issues that you need to deal

1 with and I think as it's gone through these drafts it's been  
2 getting better.

3 But I think the thing that makes the case strong  
4 and defensible is the consistency across the multiple  
5 different ways of looking at it. And everything has its  
6 problems. I mean, people aren't rats and they don't live in  
7 boxes.

8 And, you know, with the epi studies there's all  
9 the problems of exposure measurement and confounding and  
10 when you put it all together you get a pretty consistent  
11 picture.

12 So anyway.

13 DR. SEIBER: Stan, I just want to follow up a  
14 little bit and clarify for my own understanding.

15 When you look at the 31 studies, I think, that you  
16 mentioned in the report that have been done, obviously some  
17 show an association, some do not, it jumps around and there  
18 are different workers, different exposure levels, and  
19 they're very hard to compare. It's really only when you do  
20 the meta-analysis that you distill out something that tells  
21 us that in fact we do have a causative agent here?

22 DR. GLANTZ: No. I mean, we should let Michael  
23 present, he's the one who did the analysis, but you don't  
24 need to do the meta-analysis to detect an effect. A lot of  
25 the studies are statistically significant on their own, so

1 it's not one of these things where you got a whole bunch of  
2 negative studies and you pool them and you manage to squeeze  
3 out a positive result. There's a bunch that were  
4 significant on their own.

5           And the other thing which I found -- in fact, one  
6 other comment, since John wanted me to talk a lot, now,  
7 remember which was a mistake, but I think in terms of  
8 your -- of the risk assessment, I think you don't make use  
9 of the results of the meta-analysis nearly enough. I mean,  
10 I realize there's controversy about whether you're better  
11 off picking a best study or a meta-analysis. There's pluses  
12 and minuses to both approaches.

13           But, you know, I was very impressed with the  
14 meta-analysis and the consistency that was shown and they  
15 sliced the studies a whole bunch of different ways to try to  
16 deal with the different criticisms that it can raise and it  
17 ended up with pretty consistent results.

18           And I think that to me is a more -- that's a very  
19 solid thing that you should do more than bury it in the  
20 appendix in the report, because I think if you take that and  
21 then if you take your best study approach that you were  
22 using, you actually end up with pretty similar numbers and I  
23 think that makes both of them stronger.

24           So, you know --

25           DR. SEIBER: Probably should go ahead.

1 DR. GLANTZ: The next slide was --

2 DR. ALEXEEFF: In the next slide this slide graphs  
3 the estimates of relative risk for the smoking-adjusted  
4 studies of diesel exhaust in lung cancer.

5 And on the next slide --

6 DR. GLANTZ: If I can just talk again, since John  
7 has unleashed me.

8 DR. BLANC: I think unmuzzled would be a better --

9 DR. GLANTZ: Unmuzzled, whatever.

10 I mean, the fact is when you look at these things,  
11 the smoke-adjusted studies are probably the best ones to  
12 look at, because smoking is potentially a confounder here,  
13 and if you look at them, all but one of them show an  
14 elevation in risk. That to me is pretty compelling.

15 And a few of them are statistically significant.

16 And the problem you have when you do these kind of  
17 studies is usually they are hideously underpowered because  
18 it's hard to get a big enough sample size.

19 And that's very convincing stuff to me, and not  
20 only are all but one of them have relative -- or odds ratios  
21 above one, which this of course corresponds to a log of  
22 zero, but they're all about the same.

23 You know, and so you get a very consistent view  
24 across all these studies.

25 And if you look at the analysis that's in the

1 appendix, Michael Lipsett, who did this, cut it a whole  
2 bunch of different ways trying to exclude different  
3 categories of studies that could be criticized on different  
4 grounds and you quite consistently come up with about the  
5 same risk estimates.

6           So this to me is much more personally compelling  
7 than just picking one or two studies and using the one or  
8 two epi studies.

9           But I think there are other people who disagree  
10 with that, so my advice in terms of the final risk  
11 assessment would be to do it both ways, because you're going  
12 to end up with about the same number and I think it gives  
13 you a much stronger case for whatever it is you come up  
14 with.

15           DR. ALEXEEFF: The next slide for that graph we  
16 showed, this is the summary of the relative risk reported  
17 for two different models. And you can see it's 1.43 and the  
18 95 percent confidence level is 1.32 to 1.56, depending upon  
19 the study, the model.

20           The next slide.

21           Just to briefly summarize, whole diesel exhaust,  
22 diesel exhaust particles and extracts have been shown to be  
23 genotoxic.

24           Diesel exhaust exposure induces DNA adducts in  
25 rats and monkeys and is associated with DNA adduct

1 information in humans.

2 Polycyclic aromatic hydrocarbons contained in  
3 diesel exhaust have been shown to be bioavailable in rats  
4 and humans.

5 And evidence in rats suggests particle clearance  
6 can be overwhelmed at high exposure concentrations resulting  
7 in tumor development.

8 Evidence for carcinogenicity in rats is  
9 sufficient.

10 Evidence for carcinogenicity in humans has been  
11 classified as limited.

12 In terms of the risks that we calculated, we used  
13 the published relative risk from the Garshick, two different  
14 studies, the Garshick case control and Garshick cohort  
15 studies.

16 And then we also conducted a reanalysis of the  
17 original Garshick cohort data.

18 We summarized discussions with Dr. Crump, who also  
19 did a reanalysis of the Garshick data.

20 And we expanded discussion of the sources of  
21 uncertainty. This is in compared to the previous draft.

22 The results, in summary, for the case control  
23 study, the range of upper bound, 95 percent confidence limit  
24 for unit risk, which is the risk per microgram per cubic  
25 meter for a lifetime exposure is five times ten to the minus

1 four, to two times ten to the minus three. And that's based  
2 upon two different assumptions of occupational exposure, 125  
3 or 500 micrograms per cubic meter.

4 In the cohort study where we used the published  
5 data, the relative risk -- I mean the risk estimate comes to  
6 one times ten to the minus three for the upper bound.

7 This is calculated using what we called the roof  
8 pattern, which I'll be discussing briefly.

9 In addition to those, using the published data and  
10 calculating the risk estimate, we also obtained the  
11 individual data for the cohort study and we applied both  
12 multiplicative models and some specific biologically-based  
13 models to calculate risk estimates.

14 The next slide.

15 The range from those models are two times ten to  
16 the minus four, to two times ten to the minus three, for  
17 again the upper 95 percent upper confidence limit.

18 In general, the assumptions that we added to the  
19 published results resulted in reducing the estimates of risk  
20 by about fivefold.

21 DR. GLANTZ: George, one other question that gets  
22 back to the issues people were talking about earlier, is  
23 this the point was made that I think it was in 1993 the  
24 diesel fuel changed, and so somewhere in there, was that the  
25 right year, 1993, and so the mix and what was coming out the

1 exhaust changed. So was this new diesel or old diesel and  
2 does that matter? As a microgram --

3 DR. ALEXEEFF: This is definitely old diesel and  
4 this is definitely older old diesel.

5 DR. GLANTZ: Really. It's like vintage old  
6 diesel.

7 DR. ALEXEEFF: Right. Because the measurements  
8 for these studies were conducted in '81 to '82.

9 How does that -- and then the exposures that the  
10 cohorts received were even earlier than that.

11 Where I'll mention this there was changes in  
12 dieselization of the railroads and there was changes  
13 undoubtedly in engine efficiency, reduction in particulates  
14 and there are undoubtedly fuel changes over that time  
15 period.

16 DR. GLANTZ: Well, is that -- how -- when I read  
17 the report I didn't pick up -- I mean, how do you adjust for  
18 that or does it not matter or is the -- I mean, we have  
19 heard some discussion earlier that the newer engines  
20 actually produce more small particles that might be worse or  
21 is something better or, I mean, how -- I mean, how is this a  
22 reasonable number to use based on what's out there today or  
23 what can you say about that?

24 DR. ALEXEEFF: Well, basically the -- all the  
25 estimates are based upon using the microgram per cubic meter

1 of particulate as the marker. Okay. So --

2 DR. GLANTZ: Is this total particulates?

3 DR. ALEXEEFF: Particulates related to diesel  
4 exhaust, yeah, total particulates.

5 In the sense -- so if one looks at the vapor phase  
6 or the non-particulate phase, that is not used in the actual  
7 calculations, considered to be fairly consistent among the  
8 different fuels. Okay.

9 Now, so one issue is this size difference of the  
10 particles, but that is not taken into account in these  
11 calculations.

12 What we've generally done, and what has been done  
13 in both the studies that have been conducted in rats, as  
14 well as the studies in humans, is to look at particulate as  
15 the marker, and that's the basis of adjustment. So it's not  
16 as fine as we would like looking backwards, but that's what  
17 we have.

18 If one looks at some of the studies, for example,  
19 in the rats, and if -- well, let's say in the rats, and you  
20 look at different types of engines that were used in the  
21 study, that did not seem to affect the risk calculated that  
22 much. Might have been other reasons for that, we'll discuss  
23 later.

24 But the information that we have does not seem to  
25 show that the fuel change has caused the dramatic change in

1 the risk, except for the fact that particulate emissions  
2 have been reduced dramatically and therefore the risk from  
3 an individual vehicle or such is reduced dramatically,  
4 because the particulate emissions are reduced.

5 But on a particulate basis, we don't have a  
6 difference, as far as we know.

7 DR. SEIBER: Getting back to the same point on the  
8 exposure, when you look at these 31 epi studies and you pick  
9 out the ones that have been adjusted for smoking and so  
10 forth, are there some that show a fairly clear dose response  
11 or is that -- this has been commented on in the letters that  
12 there's really no progression in effects with dose, because  
13 we don't know what the dose -- we don't know what the  
14 exposure was.

15 Can you comment on that, pick out the best, what  
16 you consider to be the best of those studies and what's the  
17 strength of the exposure measurements?

18 DR. LIPSETT: In none of these studies were there  
19 any concurrent exposure measurements, industrial hygiene  
20 measurements of the cohorts, although in the two studies in  
21 which this quantitative risk assessment was based, the  
22 Garshick studies, the investigators did do detailed post-hoc  
23 investigation of the number of the job classifications, and  
24 then they went back and they tried to -- and they classified  
25 people as exposed or not exposed, based on what they found

1 in those subsequent IH investigations.

2 Now, in general, in these occupational epi studies  
3 there was the length of employment was generally taken as a  
4 surrogate for exposure, length of employment in a job  
5 classification, and depending on what the study design was,  
6 they might be classified as exposed or nonexposed or  
7 probably exposed versus possibly exposed. There are a  
8 variety of different ways of doing this, but in terms of  
9 having anything that approaches the kind of exposure  
10 measurements that you would get today in a occupational epi  
11 study, that those don't exist.

12 But there are, having said that, there are a  
13 number of studies that looking at, say, a group of highly --  
14 or low, medium and high exposed, based on job classification  
15 again, there are a number of studies that do find evidence  
16 of a dose response relationship.

17 There are others that look for it, that didn't  
18 find it.

19 And we did discuss this in Chapter 6 in the review  
20 of the epi studies there in terms of talking about the  
21 biological plausibility. On pages 6-47 to 49, we did  
22 discuss this issue about dose response.

23 DR. SEIBER: There's evidence in some of the  
24 studies, not all of them, because you don't have enough  
25 information?

1 DR. LIPSETT: Right. And it's, you know, given  
2 the, you know, extensive exposure or the measurement error  
3 or misclassification of exposure, I mean, it is -- I mean  
4 that tends to bias you against finding a relationship to  
5 begin with. Tends to bias towards the null if it's a  
6 nondifferential type of classification. That you even see a  
7 dose response in some of these, I think, is strong evidence  
8 to support a causal inference.

9 DR. GLANTZ: Go ahead.

10 DR. ALEXEEFF: Just to add on that, a lot of the  
11 comments we received were specifically with regards to the  
12 Garshick cohort study. And in the -- I was going to be  
13 discussing that later.

14 But in the original analysis published in 1988,  
15 Dr. Garshick indicated what appeared to be a fairly  
16 strong-looking dose response pattern. Okay.

17 In subsequent reanalyses, first by Dr. Crump,  
18 Dr. Crump in his reanalyses has concluded, and it's part of  
19 our discussion here later, that the dose response trend does  
20 not exist.

21 And in reanalyses by Dr. Garshick of his own data,  
22 he suggests that the dose response trend is not as clear as  
23 it was in the original publication.

24 So in terms of that one particular study which  
25 seemed to have the strongest information, there's been a lot

1 of criticism and readjustments and recalculations and we'll  
2 be discussing that later.

3 Or we can discuss it now, whatever. It's a fairly  
4 long --

5 DR. BLANC: I think it's useful to move quickly to  
6 some of your responses to the comments that have been made  
7 by various critics, because it seems to me we're spending a  
8 lot of time going back over again a representation of the  
9 original draft document, whereas a lot of the controversy  
10 has surrounded criticisms that have been made and it would  
11 be useful for me to hear some of the thinking that you all  
12 have in terms of addressing the concerns and questions that  
13 have been raised.

14 DR. ALEXEEFF: Well --

15 CHAIRMAN FROINES: I think that's good, because we  
16 are going to lose Stan and in fact I would go to those  
17 things that have some of the most quantitative elements to  
18 them.

19 DR. ALEXEEFF: I think that, I don't know if we'll  
20 be able to satisfy your questions at this point, because we  
21 will -- our intent was first of all let you know what's in  
22 this document, and then to let you know what the key  
23 criticisms that have been leveled against or provided in  
24 response to our document.

25 We don't have answers to those criticisms at this

1 time.

2 Our intent is to go back and to look at those,  
3 those issues that are raised. I hope maybe you'll have some  
4 information to provide as well.

5 We were not planning on rebutting those issues.  
6 We haven't -- we are still evaluating them.

7 DR. GLANTZ: I understand that, George, but I  
8 think one thing, first, back to what I was saying before  
9 about this question of what are you measuring in the  
10 exposure.

11 I think in the document you need to address that  
12 point, because it's an obvious criticism that's going to be  
13 raised then, and I think you need to discuss if -- just  
14 basically make the points you've made here and at least  
15 acknowledge the kinds of changes that may have taken place,  
16 and you're saying that basically the main risk reduction  
17 you're seeing is because of reduction in the total  
18 particulate emissions and that's leaving out the fact that  
19 the change in the nature of the particulate emissions may  
20 make -- given one microgram, are actually more toxic, maybe,  
21 because it's smaller particles.

22 At least you need to talk about that. You may not  
23 be able to do anything about it.

24 But I like to agree with what Paul said, I  
25 think -- and I had several discussions with George and the

1 staff on some of these controversies. I think it would be  
2 helpful with the caveat that this is a work in progress to  
3 at least outline what the issues are and let the panel offer  
4 whatever suggestions they have to help guide you in dealing  
5 with them.

6 DR. BLANC: I'd like to bring up one area before  
7 Stan leaves.

8 DR. GLANTZ: I'm coming back, by the way.

9 DR. BLANC: I want to hear what you have to say,  
10 and I'm going to be gone later, so it's an area that's near  
11 and dear to your heart, I know.

12 One of the responses that was offered in the  
13 workshop or the public hearing in September, I guess it was,  
14 from Dr. Smith from University of California Berkeley  
15 School --

16 DR. FRIEDMAN: Could you speak more into the  
17 microphone.

18 DR. BLANC: University of California Berkeley,  
19 School of Public Health, in terms of the criticisms or  
20 the -- it was a critique of the reanalysis of the Garshick  
21 study in which he said that the reanalysis was flawed in an  
22 important way, because it did not take into account the  
23 collinearity between age and dose and years of work  
24 experience.

25 And, Stan, I know that's an area that you've

1 written about and teach about, and it seems to me to cut to  
2 the heart of sort of the fatal flaw in the critique that  
3 have been made of the Garshick study.

4 So to my mind I was very eager to hear back from  
5 Dr. Lipsett and others from an epidemiologic point of view  
6 of whether they agreed with Dr. Smith's critique and, if so,  
7 I think that should certainly be incorporated into the  
8 discussion.

9 And maybe, Stan, you'd care to elaborate on the  
10 general principles of this issue.

11 DR. GLANTZ: Well, why don't we hear what they  
12 have to say first.

13 DR. ALEXEEFF: Okay. Get to the issues. Okay.

14 I'm just going to mention a couple of things.

15 I'd like to just mention a couple of things before  
16 I get to specific issues.

17 First of all, obviously you've not reviewed the  
18 document formally. We want additional time to revise,  
19 particularly the cancer discussion and the cancer risk  
20 assessment section in response to the comments that we've  
21 received, especially the key ones that I want to outline for  
22 you.

23 DR. GLANTZ: While George is getting the slides,  
24 it's also important to note that there are actually two  
25 Garshick. There's a cohort study and there's a case control

1 study, right, and we're talking about the cohort here,  
2 right?

3 DR. ALEXEEFF: These are the five issues that I  
4 wanted to get to today. And these are the ways the issues  
5 are phrased by the commenters, just so it's clear what the  
6 issue is being raised. And I'll just mention them and then  
7 I'll be happy to go to either one of these five that we'd  
8 like to discuss.

9 First one is the rat, that the rat lung tumor data  
10 should be not used to generate quantitative estimates of  
11 human lung cancer risk from environmental exposures.

12 Second one has to do with the use of the  
13 meta-analysis and epidemiologic studies.

14 Third is the Garshick cohort studies should not be  
15 used to generate quantitative estimates of lung cancer  
16 risks. That's what we were just discussing that study.

17 The next is that the Garshick case-controlled  
18 study should not be used to generate the quantitative  
19 estimates of the lung cancer risks.

20 And the fifth is that the executive summary should  
21 be revised to incorporate more statements on uncertainties  
22 and risk characterizations.

23 So those are the five, I think the five key areas  
24 that we would like to focus on if we can today and also in  
25 revising the report.

1           So which one would you like me to go to?

2           DR. BLANC: Three.

3           DR. ALEXEEFF: Okay. Pick the most complicated,  
4 of course.

5           CHAIRMAN FROINES: Stan, there's no way that you  
6 can -- this is a little bit off the record. There's no way  
7 that you can -- would not have to teach today?

8           DR. GLANTZ: That would be tacky to not show up.  
9 I'm sorry. Why don't you take lunch from 12:00 to 1:00.

10          CHAIRMAN FROINES: The problem that we're faced is  
11 that Paul leaves at 1:00.

12          DR. GLANTZ: That's true.

13          I think you should just go ahead and then I'll get  
14 back and I'll be back around 1:30. I'll talk really fast.

15          CHAIRMAN FROINES: Why don't you just go in and  
16 give them a reading assignment, or bring them here, bring  
17 them here.

18          DR. GLANTZ: We have another few minutes. That's  
19 true, we could bring them all here to see statistics in  
20 action. That's not a bad item.

21          DR. ALEXEEFF: I don't think it gets much more  
22 complicated than what we're going to talk about.

23          DR. GLANTZ: That's true. Maybe I'll do that,  
24 show up a little early.

25          Going to be talking about measures of uncertainty.

1           DR. LIPSETT: George asked me to just go over  
2 these Garshick studies just to give you a little bit of a  
3 basis for assessing them.

4           I'm going to be in the way here.

5           These series were done by a group of investigators  
6 at Harvard. Garshick is the lead author on both of them.  
7 And they investigated the incidence of lung cancer in male  
8 railroad workers with at least ten years of employment.

9           The basic source of information about these  
10 workers was the Railroad Retirement Board records, and so  
11 the population base they were looking at was about 650,000  
12 male railroad workers.

13          In the case control study, they identified cases  
14 of lung cancer occurring among these workers during a  
15 one-year period, 1981 and '82.

16          The controls were matched two for one. There are  
17 two controls for each one of these cases. They were matched  
18 on age and date of death. And they consisted of workers who  
19 had died, but had no mention of cancer, suicide or accident  
20 on their death certificate.

21          Additional information was obtained by the  
22 decedent's next of kin, including information on smoking.

23          As I mentioned earlier, these investigators  
24 undertook some industrial hygiene measurements, trying to  
25 identify which of the different job classifications in the

1 railroad industry were -- could be considered exposed versus  
2 nonexposed.

3 Then the individuals in both the -- in the case  
4 control study were then classified according to what their  
5 job classification was initially as being exposed or not  
6 being exposed. That's what this analysis was. It wasn't  
7 low, medium, high, it was either just exposed or  
8 non-exposed.

9 For the purposes of this analysis they assumed  
10 that diesel exhaust exposure began in 1959, which is the  
11 year by which about 95 percent of the diesel -- or of the  
12 railroad fleet was dieselized, so that the process began  
13 earlier. The midpoint was about 1952 in terms of  
14 dieselization.

15 In terms of estimating the risks for relative risk  
16 for these workers, they dichotomized the group into those  
17 who were lower than retirement age or retirement age or  
18 above at the date of their date of death. These risk  
19 estimates were adjusted for both the smoking information  
20 which they got from the decedent's next of kin and for  
21 punitive asbestos exposure, which is also something they  
22 looked at in doing their industrial hygiene measurements.

23 What their estimates of relative risk as  
24 exemplified by the odds ratios here were 1.39. This is  
25 crude and it's unadjusted for the potential confounders.

1           And then 1.41, which is adjusted for smoking and  
2   asbestos exposure.

3           Then they tried to model smoking in a variety of  
4   different ways, looking at people who have been exposed for  
5   at least 20 years, and found that the relative risk was  
6   about 1.5. And it really didn't vary too much depending on  
7   how they modeled the exposure.

8           This is only for the younger age group. And the  
9   reason that they split this initially into the younger, the  
10   group, the railroad one, was that younger group was more  
11   likely to have had diesel exposure, assuming that they  
12   were -- they would be a starting point of 1959 for diesel  
13   exposure.

14           So that may have included people who actually had  
15   exposure prior to that time, but they were the ones who were  
16   the youngest once dieselization was completed, were the ones  
17   who were likely to have had the longest exposure to diesel.

18           The cohort study --

19           DR. FRIEDMAN: Can I ask a question?

20           Why did they pick death controls. Was that to  
21   avoid ascertainment bias? Rather than living people.

22           DR. LIPSETT: I think that was an issue. It might  
23   have been a convenience factor. It wasn't really -- it  
24   wasn't something that was discussed in any detail.

25           DR. FRIEDMAN: Did they collect the smoking data

1 from the relatives?

2 DR. LIPSETT: Yes. So that the quality of the  
3 information, presumably, was similar for both the cases and  
4 the controls.

5 Okay. In the cohort study, again it's this  
6 information also retrieved from the Railroad Retirement  
7 Board is 55,000 male workers, age 40 to 60 in 1959, who had  
8 at least ten years' work experience at that time, but no  
9 more than 20 by 1959.

10 Again exposure is dichotomized, that the group is  
11 either exposed or not exposed.

12 In this analysis, jobs that had clear asbestos  
13 exposure were excluded.

14 And they had follow-up from 1959 through 1980.  
15 This included 19,000 deaths, almost 1700 of which are from  
16 lung cancer.

17 Now, they modeled the incidence of lung cancer.  
18 They used two different basic models.

19 One was whether a person was in a diesel-exposed  
20 job in 1959, and when they did this it was the youngest  
21 workers had the longest exposure to diesel, as I mentioned  
22 earlier, the highest relative risk, and I'll present those  
23 in the next transparency, be my last one, and then George  
24 will continue with his discussion of the quantitative risk  
25 assessment.

1           And then they also modeled this mortality  
2   experience based on years of exposure in particular jobs.

3           And in this, in this analysis, they did observe a  
4   dose response, as Dr. Seiber asked about before. It was a  
5   dose response, but only when the four years of -- four years  
6   of exposure preceding the year of death were excluded.

7           In effect, that would have eliminated people who  
8   died in the first few years of this cohort, people who were  
9   likely to have the least amount of diesel exposure by, at  
10   least by under the investigator's assumption.

11          Okay. This is the -- these are the risks that  
12   were observed under the initial model, that is assuming they  
13   were exposed in 1959, the youngest group had relative risk  
14   of 1.45 and the oldest workers, it was basically no increase  
15   or decrease in risk observed.

16          Okay. Unless there are any questions, I think  
17   George --

18          DR. GLANTZ: I just have one quick question.

19          So the interpretation that you put on this  
20   declining risk with age at the beginning of the study was  
21   that in fact the age at the beginning of the study is sort  
22   of an inverse measure of exposure?

23          DR. LIPSETT: Yes. Exactly right.

24          DR. SEIBER: I didn't quite understand that. They  
25   could have been exposed to diesel before 1960 or 1959,

1 because the dieselization started long before that; is that  
2 correct?

3 DR. LIPSETT: That is correct.

4 But for the purposes of this analysis they chose  
5 to start at a point where the entire fleet was nearly -- or  
6 it was nearly the entire fleet was dieselized.

7 DR. SEIBER: I have a hard time understanding why  
8 that declines. Maybe I'm just -- what am I missing?

9 DR. GLANTZ: I think, and correct me if I'm wrong,  
10 I think what they're saying is that the younger workers are  
11 going to have more time working in a completely dieselized  
12 fleet than the older workers were. So the exposures, the  
13 people who were 40 years old in 1959 are going to have more  
14 exposure cumulatively than the people who were 60, because  
15 the dieselization took time to happen.

16 Is that -- I mean -- I'm saying that's my  
17 understanding.

18 DR. LIPSETT: I think that's the impression the  
19 investigators tried to convey in the paper as well.

20 DR. SEIBER: Is that enough to show such a  
21 dramatic -- that's 50 percent less.

22 DR. BLANC: It's just the kind of effect I would  
23 expect to see. In other words, on average somebody who was  
24 62 years old in 1959, the most possible exposure they could  
25 have had to diesel among that group would be ten years.

1 That would be the absolute most, because you said it started  
2 in the early '50s, dieselization.

3 DR. LIPSETT: The midpoint according to the  
4 investigators was '52, so it began earlier.

5 DR. BLANC: So let's say some of them do, most of  
6 them don't and then most of them who are dying of lung  
7 cancer are dying anyway, between '59 and '80 are dying  
8 fairly soon after the initiation of exposure, so it would be  
9 unlikely to be related to it anyway. So you're stacking the  
10 deck.

11 And this is exactly -- were there be to causal  
12 relationship, this is indeed exactly the relative risk  
13 pattern one would anticipate seeing. It's actually very  
14 impressive step-wise pattern of risk.

15 And echoing what Stan said earlier, the fact that  
16 you can even see a relative risk of 1.45, when in fact the  
17 best case scenario is those people who are 44 and -- 40 to  
18 44 and 59, haven't had all that much exposure before they  
19 die of lung cancer by -- they have to have died by 1980,  
20 which means that at the most they're 64 years old, which is  
21 on the young side to be dying of lung cancer.

22 So, you know, we're talking -- this is not a  
23 trivial effect.

24 DR. KENNEDY: There were criticisms made over the  
25 retrievability of the death certificate data. Was that in

1 the cohort study or in the case control study there,  
2 particularly for younger patients?

3 DR. LIPSETT: I think it was in the cohort.

4 DR. KENNEDY: There were about 20 or 25 percent  
5 of --

6 DR. LIPSETT: I don't remember the exact number,  
7 but I have the paper here --

8 DR. KENNEDY: The data were not available?

9 DR. LIPSETT: Yeah. I have the paper here. I  
10 don't remember the exact percentage. I can provide it to  
11 you.

12 DR. BYUS: I have one simple question. What about  
13 environmental tobacco smoke in this system? I mean, chances  
14 are, these railroad workers, a lot of them, smoked. I mean,  
15 you control for the ones that died that smoked directly, but  
16 I would imagine that many of them were exposed to  
17 environmental tobacco smoke, even if they didn't smoke  
18 primarily, and we know that that has a relationship to lung  
19 cancer. So did anybody ever deal with that?

20 DR. LIPSETT: It may be in some of the sequent  
21 analyses that they did, but this is not part of this  
22 analysis.

23 DR. BLANC: You'd have to assume the systematic  
24 effect of the people who worked in the diesel jobs in the  
25 railroad had more ETS exposure than the people who didn't

1 and I don't think there's any --

2 DR. BYUS: I don't know whether that's the case or  
3 not, I mean --

4 DR. BLANC: Why would you even hypothesize such an  
5 association?

6 DR. BYUS: If they were smoking in a confined  
7 environment. I don't know how they worked or where they  
8 worked. I don't know what the smoking patterns were in  
9 diesel exhaust workers. If there were groups of them  
10 working inside where smoking was allowed or, you know, I  
11 just don't know that. I'm just asking.

12 DR. LIPSETT: You could make arguments either way  
13 with something like that. You could say that for the people  
14 who are outside doing manual labor they might not have the  
15 time to be able to smoke as much as the clerks who are  
16 working indoors. And the clerks in this particular study  
17 were the ones who were classified as nonexposed. And yet if  
18 you had a scenario like that, then it might cut against it,  
19 it would tend to diminish the effect that you would see.

20 DR. FRIEDMAN: The other point I'd like to make in  
21 response to that, I think it's a good question, but usually  
22 for a confounder to explain an association, it has to be  
23 much stronger and, if anything, the environmental tobacco  
24 smoke is a little bit less strong than what we're seeing  
25 here. It's more in the range of 1.2 to 1.3, where this is

1 1.4. And so it would be very unlikely that that could  
2 account for this.

3 DR. ALEXEEFF: Just as a comment, although in  
4 Garshick's original presentation, this information he didn't  
5 actually use exposure measurements in his calculations. The  
6 industrial hygiene data done by members of his group did go  
7 back and look at industrial hygiene data and the measure of  
8 exposure that we used in our quantitative risk assessment was  
9 adjusted for ETS.

10 And in the study it was suggested or indicated  
11 that it appeared that the clerks, who were classified as  
12 unexposed, were exposed to more ETS, as Dr. Lipsett  
13 suggested, than the other workers.

14 So but probably Dr. Friedman's point is the most  
15 relevant.

16 DR. SEIBER: One last point before we leave this  
17 draft, at least for me.

18 From a statistical point of view, and I'm not a  
19 statistician, I'll make that clear at the beginning, would  
20 you tell us among those five data, which ones -- give us  
21 some kind of exposition on the statistical significance  
22 among those five numbers on the right.

23 DR. LIPSETT: Okay. If in the epidemiologic risks  
24 your estimate of relative risk includes the number one,  
25 then -- or goes beyond it, it's not statistically

1 significant. But so that the top two, which don't go down  
2 to the number one, with the confidence interval, those would  
3 be considered to be statistically significant. The bottom  
4 three would not.

5 DR. SEIBER: Okay.

6 DR. LIPSETT: Okay?

7 DR. SEIBER: Thanks.

8 DR. LIPSETT: Okay. George.

9 DR. ALEXEEFF: Now I'm going to go through the --  
10 you saw the nice part of the Garshick study, the original  
11 published data.

12 Now, I'm going through some of the issues that  
13 have been raised in the comments and the reanalysis and try  
14 to indicate, one, sort of all the work that we have done  
15 over the past few years, both us and other groups, in trying  
16 to understand the data set, and also some of the complexity  
17 that goes into trying to determine why analyses of these  
18 data sets appear to have conflicting results.

19 I don't have the answers to why at this point, but  
20 I want to show you the progress that we're making.

21 First of all, you can see here that we had with  
22 regards to this comment that this cohort study should not be  
23 used for quantitative risk assessment, we had quite a few  
24 commentators that made this point, including Dr. Garshick  
25 himself, who was the lead author of that, as well as

1 Dr. Kenny Crump, who was the lead individual on the  
2 reanalysis of the study.

3 CHAIRMAN FROINES: Do you have overheads for that?

4 DR. ALEXEEFF: Yes.

5 CHAIRMAN FROINES: Does everybody have the  
6 overheads for this?

7 DR. ALEXEEFF: As we mentioned before, as I  
8 mentioned before we did calculations in two ways. Well,  
9 many ways, but the two basic areas were on the actual  
10 published results, and on the individual data. Just  
11 important to keep that in mind as we try to unravel these  
12 issues.

13 The second point to make is that there was  
14 exposure data that was used that was developed in '81-83.  
15 The information in '81-83 is considered to be very good.  
16 The issue comes in play as what were the exposures from 1959  
17 to 1980, which we don't have on real-time information.

18 This again just summarizes the cohort that  
19 Dr. Lipsett mentioned, the 55,000 individuals involved. And  
20 you can see here that the -- I better sit down, so I don't  
21 block this.

22 You can see here that there's three different  
23 exposure groups. This also is important to keep in mind  
24 because the different analyses look at that. We have  
25 shopworkers, we have what's called mostly train riders and

1 then we have these clerks and signalmen.

2 And this is the ETS-adjusted exposure  
3 concentrations that were made for these different groups.

4 The remainder here was reported to be respirable  
5 particulate, but not diesel exhaust particulate, and not  
6 ETS.

7 The other issue to point out here is the  
8 shopworkers, they have highest average exposure. At the  
9 same time their exposure status is uncertain and the reason  
10 is for that is in those shopworkers that worked on diesel  
11 engines regularly, they had highest exposure. Those that  
12 worked on other activities, that had to do with the actual  
13 engines of running a railroad, they had essentially no  
14 exposure. So the exposure in this classification can be  
15 very high. So that was another area of concern.

16 This graph here just depicts the dieselization  
17 issue that Dr. Lipsett mentioned. Again, we see that it  
18 decreased and that in 1959 it appears to have almost a whole  
19 fleet was dieselized. And at the same time after 1959  
20 there's discussion in the Woskie article about smoking  
21 engines being taken off line, improvements in engine design,  
22 efficiency, reduced particulates. It's roughly anecdotal.  
23 We don't have quantitative information. But it appears from  
24 our impression that there's increased dieselization, and  
25 then there's improvement, efficiency in the engines.

1           On that basis, in our analyses we favored this  
2   kind of an exposure pattern, what we called the roof  
3   pattern, and these patterns will also become an important  
4   issue too. I just want to let you know what we did in our  
5   numbers. This reflects the dieselization and this reflects  
6   improved efficiency and it's just an exposure assumption for  
7   the pattern.

8           I'll skip the risk estimates.

9           DR. KENNEDY: George, there was some comments  
10   among the reviewers that your estimate of -- estimates of  
11   exposure were higher than other models had predicted using  
12   that system. Would you comment on that?

13          DR. ALEXEEFF: Yes. Yes. As a result, we  
14   estimated -- our estimate suggests we actually have an  
15   exposure factor on the side there, and since the  
16   measurements were actually made here, this is the point  
17   where the Woskie industrial hygiene measurements were made,  
18   and so we were looking at dieselization and then the  
19   improvement efficiency. We assumed a factor of three. And  
20   so therefore we assumed more exposure of the workers, which  
21   resulted in a lower risk estimate, an inverse relationship.

22          CHAIRMAN FROINES: I think that there's in this  
23   population there was likely to have been dermal absorption.  
24   And I'll never figure out what that was. It can be quite  
25   high. I remember reviewing all the data on PAHs and dermal

1 uptake and it turns out to be higher than one would be  
2 anticipate. So it's a factor which we'll have to think  
3 about in the future.

4 DR. ALEXEEFF: Just as a reminder, this was our  
5 risk estimate from the published data, one times ten to the  
6 minus three.

7 And then we used, as I mentioned before, a number  
8 of models, general multiplicative models, and a  
9 biologically-based model and did a number of analysis and  
10 these were suggested over time.

11 And then the summary of our results on that are  
12 shown in this graph here.

13 Here we have different exposure patterns. This  
14 roof and a ramping, which I'll explain, basically doesn't  
15 peak up as high, and levels off.

16 But for the most part with one being the published  
17 results, you can see that the assumptions that we're using  
18 in biologically-based model here or in our other  
19 calculations for the roof pattern, the risks are decreasing  
20 in our estimates here. There are less than one times ten to  
21 the minus three.

22 I just wanted to show you what we did, because it  
23 will help clarify as all the discussion goes on.

24 I'll skip the range of risk slide.

25 And so generally there are the general

1     uncertainties involved in human -- using a human study for  
2     risk assessment.

3             The use of the appropriate model, whether it's the  
4     general model or a multistage model. It's estimating the  
5     historical exposure measurements is an issue, what the level  
6     is, what the pattern is, and also the classification of the  
7     exposure groups. So those are also important issues.

8             Now, in addition to these general issues, we have  
9     the additional issue that Dr. Crump reanalyzed the data for  
10    US EPA in 1991. It wasn't actually published until 1994,  
11    after the issuance of our documents, which is this time lag  
12    thing, but so we didn't discuss it in our original  
13    publication.

14            But in our most recent draft we do discuss what we  
15    understood to be the -- first of all, that our analysis  
16    differed from those conducted by Dr. Crump. There's been a  
17    lot of discussion in workshops. There's been discussion,  
18    communications with Dr. Crump. And so it's clear that our  
19    analyses, the results of the analyses have differed, the  
20    interpretations have differed.

21            We've made a number of efforts to try to identify  
22    what these differences are and what are the differences in  
23    the assumptions, the approaches and the results.

24            And I'll be giving you some information on that to  
25    show you the complexity of these issues.

1           Now, the factors that we identified in the report  
2   that we thought were important are these. These are in our  
3   Appendix F.

4           CHAIRMAN FROINES: It's important to stress that  
5   this work is work in progress.

6           DR. ALEXEEFF: This is work in progress and this  
7   is what we found at the time we released the report. Since  
8   then we have more information, which I'll be discussing some  
9   of that here.

10          These are the factors that we identified.

11          Controlling for age appeared to be important.

12          Whether or not shopworkers were incorporated in  
13   the analysis.

14          Whether or not the last four years of the study  
15   were included, because there was a dropoff in follow-up in  
16   that study.

17          Measures of exposure, the exposures categories and  
18   the method of describing the trend.

19          Those are the primary areas that we had looked at.

20          Now, these are -- this is another issue here, the  
21   different exposure patterns.

22          In the original Garshick, the original Garshick  
23   study published in 1988, although he didn't have  
24   concentrations, this is the exposure pattern that he had  
25   assumed. People were exposed as of 1959. We already know

1 that would happen before that in part, but exposure is not  
2 included in the analysis.

3 And the people were assumed to be exposed equally  
4 in that kind of block for train workers.

5 And the clerks were assumed to be unexposed.

6 And that's how the relative risks are calculated.

7 Now, in 1991 in Dr. Crump's reanalysis, he  
8 introduced another way of looking at this, and that was  
9 called the ramp pattern, and this was in 1991.

10 And in this case he added this issue of ramping up  
11 the exposure from '45 to '59 and then leveling off. So that  
12 was another -- so a lot of the calculations are made between  
13 these different exposure patterns.

14 The next issue that's different is Dr. Crump  
15 assumed that the clerks were actually an exposed group.  
16 That affects the calculations.

17 Finally, in our report, we have a different yet  
18 exposure pattern. Again I mentioned we had this roof  
19 pattern which goes up and then comes back down.

20 And then we have this other pattern, this ramping,  
21 similar to Dr. Crump's analysis, except we assumed that  
22 clerks were controls, not exposed.

23 So you can see just on the exposure patterns and  
24 who you're classifying as exposed in trying to compare  
25 analyses it makes it difficult in trying to resolve what the

1 differences are.

2 And the different exposure patterns, the factors  
3 that I mentioned, the controlling factors, have various  
4 levels of importance and influence in the calculations. And  
5 some of them are starting to make sense.

6 Okay. So I'm going to start going through this in  
7 general.

8 DR. SEIBER: On the clerks, I'm assuming, do  
9 people feel they're unexposed because --

10 (Numerous people enter the room.)

11 DR. GLANTZ: Excuse me. Just for the record,  
12 Dr. Lockett said bring the students here. So you're now  
13 being invaded by Biostatistics 183.

14 And could I just ask, having created this chaos,  
15 it was not my idea, could I just ask in the interest of  
16 didactic wonderfulness, if when these students get in here,  
17 maybe George or somebody could just take a minute and  
18 briefly summarize very briefly the Garshick thing again so  
19 they can know what we're talking about, since we're  
20 depriving them of my lecture --

21 DR. SEIBER: You mean be your guest lecturer for  
22 the day.

23 DR. GLANTZ: Yeah. George can be our guest  
24 lecturer.

25 Are you looking at me because you're like totally

1 shocked?

2 DR. ALEXEEFF: I can honestly say --

3 CHAIRMAN FROINES: I'm looking at you because I  
4 think it illustrates how highly -- how highly we hold you in  
5 regard to do this. You had better contribute markedly this  
6 afternoon in the various discussions.

7 DR. GLANTZ: Maybe you can just back up and spend  
8 a couple minutes just so the students here know what you're  
9 talking about.

10 DR. ALEXEEFF: I'd be happy to do that.

11 As I was going to say, I know from experience that  
12 I'm trying -- we're going to get into a very complex  
13 analysis, reanalysis, reanalysis of the analysis, and  
14 probably to have the basic facts under your belt helps to  
15 move on.

16 Okay. Class, students. Okay.

17 We're going to be -- what we're discussing here is  
18 a epidemiologic study on railroad workers conducted by  
19 Dr. Garshick in 1988.

20 And in this study there were 55,000 railroad  
21 workers at various ages. They were assigned to job  
22 categories. They were followed from 1959 up through 1980.  
23 There were 19,000 of them or so died, 1600 from lung cancer.

24 And the way we understand the exposure, the  
25 exposure is first assumed to have occurred from 1959 to

1 1980.

2           This slide here shows the report in the actual  
3 study of Dr. Garshick in 1988. And what he found was that  
4 people that were younger in 1959 had a higher relative risk.  
5 That is to say, their risk of contracting lung cancer was  
6 increased.

7           And the logic for this is that the younger folks  
8 had more of a chance to be exposed from 1959 to 1980, as  
9 well as the few years before 1959.

10           DR. GLANTZ: And that's because they were just  
11 putting diesels in in the '50s.

12           DR. ALEXEEFF: One of my top five slides here.

13           I'll just mention this, so you understand the  
14 issue here.

15           There is a large number of individuals that are  
16 concerned about using this study in a risk assessment, which  
17 is what we're trying to do, estimate the risk to the public  
18 from this occupational study. And one of the commentators  
19 is the study author himself, Dr. Garshick, as well as  
20 Dr. Kenny Crump, who first started working on this for  
21 US EPA.

22           This slide shows the locomotive dieselization up  
23 until 1959. So although the original study assumed that  
24 people were exposed only from 1959 onwards, clearly there  
25 must have been some exposure prior to 1959, but we don't

1 know how much.

2 We can make assumptions about the exposure.

3 Assumptions that our department made were that exposure  
4 peaked in 1959 and then came back down, and that the peak  
5 was roughly three times the levels that were actually  
6 measured in 1982.

7 DR. GLANTZ: George, when you -- how could it peak  
8 in 1959 if they were essentially a hundred percent diesel in  
9 1959?

10 DR. ALEXEEFF: We took in our evaluation there was  
11 dieselization occurring, and then in the studies in the  
12 industrial hygiene studies, they indicate that the engines  
13 were being improved, that poorly-designed engines were taken  
14 off the railroad, and as dieselization become more popular  
15 the better engines were being used more widely with reduced  
16 particulate emissions. So that's the basis of this.

17 There are studies with other engines, not railway  
18 railroad engines, showing how efficiencies did improve over  
19 time dramatically.

20 Those are, when one is trying to estimate what  
21 exposure these railroad workers had in order to better  
22 quantify the risk, the original study assumed a constant  
23 exposure from '59 to 1980.

24 In 1991 a reanalysis assumed a ramping effect up  
25 until '59 and then leveling out.

1           The difference in this study they also assumed the  
2 clerks were exposed, but in the original study they were not  
3 considered exposed.

4           In our document we made two different analyses,  
5 one with a peaked roof pattern which went up, as I just  
6 mentioned, and a ramping pattern, which also leveled off  
7 here. We also assumed the clerks were not exposed to diesel  
8 engine exhaust.

9           DR. SEIBER: That's where I had a question,  
10 George.

11           The assumption that clerks were or were not  
12 exposed. Now, I'm assuming that a clerk works in an office  
13 near where the railroad yard or is the train station or  
14 whatever and somewhere in the report we talked about outdoor  
15 versus indoor. That would seem to argue to me, to my way of  
16 thinking, that they were in fact exposed, because air  
17 filtration isn't perfect, and some of the outdoor air can  
18 even use the factor such as the one you developed to correct  
19 for that.

20           So can you comment on this assumption that they're  
21 not exposed?

22           DR. ALEXEEFF: Well, maybe Dr. Lipsett can comment  
23 on it. But see if I can make a first shot at it.

24           In one sense in epidemiologic studies one has to  
25 base the risk on some control population. And you

1 generally, you'll see in some of the slides I'll show later,  
2 that you generally choose the population that has the lowest  
3 exposure and often that population is set at one or assumed  
4 unexposed.

5 DR. SEIBER: Even though they are by definition  
6 you just normalize.

7 DR. ALEXEEFF: That's one methodological thing  
8 that is done with epidemiologic studies.

9 But in this particular analysis in discussions  
10 with one of the authors of the industrial hygiene study, it  
11 was -- we discussed this at the scientific workshop in 1986,  
12 we were told that the concentrations that I placed on the  
13 board that she, Dr. Hammon indicated that it was clear to  
14 her that the concentrations were not, for the clerks, were  
15 not diesel. Okay.

16 Now, that's all the information that I have on  
17 that, although I think your logic is reasonable.

18 DR. LIPSETT: George, do you want any response?

19 DR. ALEXEEFF: You have some additional?

20 CHAIRMAN FROINES: Just one comment.

21 I think one can ask Kathy to write a comment about  
22 that.

23 Secondly, though, that if you did think there was  
24 a response, then the clerks might also have the ramping  
25 effect to where it declined with time. So if you had a ramp

1 up, you might have to have a ramp down for the clerks as  
2 well as for the other. So you'd have to think about it in  
3 both ways.

4 DR. FRIEDMAN: May I? I have a question. Maybe  
5 you've explained this, but I think it would be helpful for  
6 us to understand that given that there are 30 or 40 studies,  
7 epidemiologic studies, why so much attention has been  
8 focused on this one.

9 DR. ALEXEEFF: Well, even in our scientific  
10 workshop, which occurred in 1986, in January, although it  
11 was lot of controversy about the analyses and reanalyses, it  
12 was still felt that in many ways this was one of the best  
13 designed studies, and the large number of individuals and  
14 the high quality of the health information tabulated on the  
15 cohort, because I guess railroad workers, once they joined  
16 the railroad, tend to stay with the railroad.

17 And the way that the health information is  
18 organized, it's kept in ways that are well to review it.

19 In contrast, truck drivers, there's a lot of mix  
20 of -- there's differences of how truck drivers may be  
21 organized. They all don't report to a central board as in  
22 the case of this case, the dock workers.

23 DR. FRIEDMAN: So it was felt that this had the  
24 best data, far better than other studies and in terms of  
25 length of exposure and follow-up information?

1 DR. ALEXEEFF: Probably the exposure information,  
2 the size of the cohort, the follow-up for what it's worth,  
3 and probably the information that Dr. Blanc pointed out,  
4 that the reverse trend is actually a compelling factor of  
5 showing a potential dose response. Those all sort of fed  
6 into why this study has been looked at so carefully.

7 DR. LIPSETT: I want to follow up a little on  
8 Dr. Seiber's comments about the clerks.

9 One is you would suggest that they might be in  
10 railroad yards and might get infiltration of diesel exhaust  
11 indoors and that certainly is true for some of the clerks,  
12 but others were in headquarters buildings that were far away  
13 from that.

14 And also one of the implications, say if the  
15 clerks were substantially exposed to diesel exhaust, one of  
16 the implications of that is that the relative risks that  
17 you're getting, they can be looked as kind of reference with  
18 relative risk estimates, would end up biased in a downward  
19 direction, if that's true, but we don't really have a good  
20 sense of that.

21 DR. ALEXEEFF: Okay. Then as I indicated, there  
22 were a number of factors that have been identified thus far  
23 that could affect the analyses of this cohort study, how age  
24 is controlled for in the models, how whether shopworkers are  
25 included, whether the last four years of the study are

1 included, what measure of exposure is used, the exposure  
2 categories, the method of describing the trend.

3 Now I'd like to get to the heart of the matter  
4 here.

5 What the principal thrust of the comments we've  
6 received are, and I'll be focusing on the comments actually  
7 submitted by Dr. Garshick and Dr. Crump, because I think  
8 those actually get to the key of the issues. And many of  
9 the other comments were useful ones. But the key ones for  
10 us that are difficult for us or require a lot of attention  
11 for us to evaluate and consider are these from these  
12 investigators.

13 Okay. So and I will be trying to present their  
14 comments as much as I can from their perspective and not  
15 from mine.

16 Dr. Garshick reanalyzed the original data and the  
17 shape of the exposure response relationship was not as  
18 positive as originally reported. Therefore, the original  
19 published data should not be used.

20 That's one comment.

21 DR. GLANTZ: George, do you mean the original  
22 published data or the original published results? You're  
23 not saying --

24 DR. ALEXEEFF: The original published results, the  
25 exposure response --

1 DR. GLANTZ: You're not saying there's anything  
2 wrong with the data, it's the interpretation you're  
3 saying -- I just wanted to be real precise there.

4 DR. ALEXEEFF: Let's put it this way. The key  
5 issue here is the trend, the dose response trend. So in  
6 other words the exposure response relationship was not as  
7 positive, so the graph we had on there, the slope is not as  
8 great. Okay. Or the slope is greatly diminished, as  
9 Dr. Garshick puts it.

10 Therefore he felt that -- Dr. Garshick felt the  
11 original published data -- or others would argue, the  
12 original published data should not be used.

13 That was one of the ways we analyzed it.

14 The next point is that reanalyses by Dr. Crump of  
15 the Garshick data concluded that the trend was not present  
16 when age was more carefully controlled, and that exposure  
17 response was lacking.

18 Now let me show you what that means.

19 This Dr. Crump's re-creation of Dr. Garshick's  
20 data and this is showing the original results, the 1988  
21 results.

22 So you can see this is graphically what we saw in  
23 that table. And so it looks like a very nice dose response  
24 trend. And you can see from this analysis right here that  
25 one of the issues of the factors I had of shopworkers -- the

1 shopworkers, was when you exclude shopworkers you get the  
2 same trend. So in terms of for this exposure pattern, the  
3 shopworkers, whether you included them or do not include  
4 them do not area one of these factors that affects the  
5 results.

6 DR. SEIBER: Just a note of clarification.

7 Only the last two points we already established in  
8 questioning are statistically different from the first  
9 three; is that correct? I think that's what --

10 DR. ALEXEEFF: These are statistically  
11 significantly different from one.

12 DR. SEIBER: One, two and three.

13 DR. ALEXEEFF: The test of significance is on that  
14 one. I don't know --

15 DR. LIPSETT: Actually, this is a little different  
16 from what was -- what I had presented, which was just --  
17 right. It was looking at those particular age groups there.  
18 And it wasn't -- well, you're right in that top two in those  
19 risk estimates were statistically significant, and in this  
20 instance they were -- I'm not sure exactly about the  
21 correspondence here to that particular table. I wasn't  
22 involved in this part of the analysis.

23 DR. SEIBER: I thought it was the exact same.

24 DR. BLANC: They're related, but they're not the  
25 same.

1 DR. ALEXEEFF: This is a re-creation of the visual  
2 depiction made in the Garshick study.

3 DR. GLANTZ: So you've got, just for the students'  
4 benefit, on the horizontal axis is how long they have been  
5 exposed to diesel exhaust, and the vertical axis is the risk  
6 of cancer.

7 DR. ALEXEEFF: Right. The risk of cancer and the  
8 diesel exposure in years.

9 FROM THE AUDIENCE: What did you do to reanalyze  
10 it?

11 DR. ALEXEEFF: You'll see.

12 Now, as I mentioned, the importance of this is  
13 just to show that Dr. Crump -- and we also have been able to  
14 reproduce Dr. Garshick's original analysis, so that's what  
15 the importance of that is.

16 Same time, though, when, as Dr. Crump indicates,  
17 when the trend of lung cancer relative risk for duration of  
18 exposure --

19 DR. LIPSETT: George, can I interrupt you for one  
20 second.

21 Dr. Dawson has pointed out to me that these  
22 particular points are -- they were not on the table that I  
23 presented earlier, but they are in the report and every one  
24 of those levels of exposure, one to four, et cetera, are  
25 statistically significantly different from zero. They're

1 all elevated in the statistical relationship.

2 DR. ALEXEEFF: Okay. Now, this is -- this is part  
3 of Dr. Crump's reanalysis of the Garshick, the original  
4 Garshick data, using the original exposure pattern of '59 to  
5 '80, that block pattern.

6 And he used a different measure of controlling for  
7 age, a measure called attained age, instead of calendar  
8 year.

9 The trend appears to slope off down here. It goes  
10 up and then it goes down.

11 And then he also did the analysis for shopworkers.

12 So it's the basic issue right here in these  
13 analyses and reanalyses is what is happening to the trend,  
14 do we really see a dose response or not. Okay.

15 And that is the issue being raised where -- the  
16 issue raised by the commenters is that this is showing there  
17 is no dose response trend, therefore a slope of risk should  
18 not be calculated.

19 DR. BYUS: What exactly is attained age? What  
20 does that mean? I mean, maybe that's too hard of a  
21 question. Briefly, what is it, what's the difference?

22 DR. ALEXEEFF: Last week in Monterey when  
23 Dr. Crump was asked that, he said it was a very technical  
24 question. I'm not a statistician.

25 I don't know, Michael, if you can explain it or

1 I'm afraid I will make -- it's instead of looking at age as  
2 you're getting older from these, it's the age that you  
3 attained at the time of the death. So it's another --

4 DR. GLANTZ: And if I can -- and I know less than  
5 you do, but that never stopped me before. My understanding,  
6 or maybe, Stan Dawson, do you want to define it? You know  
7 what you're talking about.

8 DR. DAWSON: Attained age is the age that you did  
9 the observation. It doesn't matter whether there's a death.  
10 You count the deaths and you count the non-deaths at that  
11 moment in time, and that's the age at that moment. And it's  
12 in contrast the age at the start of the study, which is the  
13 other way of controlling for age that was with the previous  
14 slide.

15 DR. ALEXEEFF: See if I can explain this now.

16 In the original table that Dr. Lipsett showed, he  
17 was showing like 40 to 45, 45 to 50, that's age at the start  
18 of the study. That's one way to control for age.

19 In this analysis, we're looking at the age at the  
20 time of the observations was being made.

21 Is that correct?

22 DR. DAWSON: That's correct.

23 DR. ALEXEEFF: Okay. This, again, this is the  
24 analysis on the original data set and this is the issue  
25 that's raised.

1 DR. GLANTZ: Could I just say something?

2 DR. ALEXEEFF: Sure.

3 DR. GLANTZ: This is getting back to the point  
4 Paul raised before I went off and grabbed all the students.

5 One of the criticisms of basically what they're  
6 saying here is that if you count how old the people are, the  
7 first way you see a positive dose response relationship and  
8 if you count it the second way you don't.

9 And the issue that was raised at the workshop up  
10 in Sacramento was that it really shouldn't matter, they're  
11 both supposedly measuring the same thing, which is how old  
12 the people were.

13 And the criticism, I think his name was Smith,  
14 raised, was that there was a high level of collinearity  
15 between these variables and when you have a lot of  
16 collinearity the estimates become very unreliable.

17 And I've been talking to George and to Stan Dawson  
18 and the others and in fact there is a very high level of  
19 multi-collinearity and so I think that this is very  
20 problematic, actually, this criticism.

21 And I did a little -- why don't you just overlay  
22 the previous slide on this, George.

23 The scales are a little bit different, but line up  
24 the ones.

25 And, in fact, we all get older and our eyes don't

1 work.

2 Line up the X axis too there.

3 This is a little bit cheating, because if you get  
4 the ones together -- poor George is getting astigmatism.

5 But, I mean, it's a little bit unfair because the  
6 axes are a little bit different.

7 But if you look at these things on top of each  
8 other, and in fact if you correct the axes, because I did  
9 this yesterday, they are closer together, those results  
10 don't look nearly as different. Those are 95 percent  
11 confidence intervals, right? Is that right?

12 They don't look that different.

13 And in fact if you put them on the same scale they  
14 move closer together.

15 So it seems to me, and I think this is something  
16 that requires some further investigation quantitatively,  
17 because I just sprung this on George and Stan this morning,  
18 but it seems to me that there's no real difference between  
19 these two sets of results, and you're just simply looking at  
20 sort of the instability that's built into the model  
21 specification and a reflection of the collinearity.

22 And so I don't -- I'm now convincing myself that  
23 this controversy isn't the controversy, and it's really just  
24 an artifact of the way the models are specified.

25 As I say, if you correct the scales, these things

1 get closer together.

2           So, I mean, this isn't done yet, and this is  
3 something that's going to require some more work, but this  
4 may be a problem that kind of goes away and is just simply a  
5 methodological artifact.

6           DR. SEIBER: Stan, you're saying one thing, but  
7 I'm looking at it and I still see that they're different.  
8 What's going on here?

9           DR. GLANTZ: Well, this is what I should have  
10 brought the slides from my statistics class, but what you're  
11 getting here is a sampling variable.

12           And if you look at the confidence intervals, which  
13 are the vertical lines, they overlap quite a lot.

14           So my guess is that the biggest difference is the  
15 last point, and I bet that difference isn't statistically  
16 significant in fact.

17           So what happens is when you have a lot of  
18 collinearity, which is -- and the common sense definition of  
19 collinearity is you put several variables in the equation  
20 that are all measuring more or less the same thing, that  
21 produces instabilities in the equations, because the whole  
22 idea of a multivaried analysis is to separate out what part  
23 of the effect is due to factor A or B or C.

24           If you have -- if you're putting several different  
25 measures in that are all basically measuring the same thing,

1 the computations for the parameters in the model can't  
2 separate those effects out. There's not enough difference  
3 to see it. So you get unstable parameter estimates.

4 And there is a lot of multi-collinearity in the  
5 way the second model is specified.

6 So it may be that this thing that looks like a  
7 declining risk is just randomness. You know, there's a  
8 certain random element to all of this due to the sampling  
9 variation and that may be -- there may not really be a real  
10 difference. I mean, Gary knows all about this.

11 DR. FRIEDMAN: I just want to point out, and  
12 correct me and let me know if this is correct, even though  
13 the years of exposure pattern changes when you do Crump's  
14 analysis, it doesn't take away the association of diesel  
15 exhaust with lung cancer. Am I correct? There's still the  
16 exposed people have a higher rate of lung cancer than the  
17 non-exposed, even though the pattern is not as pretty under  
18 this; is that correct?

19 DR. ALEXEEFF: As of last week, and this has  
20 changed a little bit, Dr. --

21 DR. GLANTZ: This is a work in progress.

22 DR. ALEXEEFF: It's a work in progress.

23 Dr. Crump indicated he felt that there is no  
24 significance between the exposed and the unexposed.

25 On at the same meeting Dr. Garshick said although

1 the slope is diminished, he felt there's still an overall  
2 difference between the exposed and unexposed.

3 So there is some discussion along those lines.

4 DR. BLANC: I want to go back to the issue of  
5 collinearity.

6 In fact, it's an inherent problem in occupational  
7 studies if you put age in the model in the way that Crump  
8 has done it. You almost invariably have significant, and I  
9 mean meaningful collinearity between year of exposure and  
10 age, because most people tend to enter occupational cohorts  
11 at around the same age and therefore their age, if handled  
12 as age at observation or ascertained age, will equal their  
13 work life minus 20, because they go into the work force  
14 around 20.

15 So any study -- and I looked at this quite a bit  
16 in asbestos-related health effects modeling, will be  
17 completely messed up by adjusting for age.

18 In fact, in that sense, age is a confounding  
19 variable. It is something which is the effect associated  
20 with age is not the effect of age, it is the effect of age  
21 as a surrogate for years of exposure.

22 And therefore -- and not only that, but I would  
23 say that using ascertained age, if that is the correct  
24 technical term, is not what is standardly done in these kind  
25 of analyses.

1           And so one of the things that's been troubling me  
2   about the criticisms made of the draft document, I would say  
3   globally, have been that the recurring theme in all of the  
4   areas of criticism have been in a sense asking this document  
5   to reject what are typical assumptions made in epidemiologic  
6   analyses, in health risk assessment.

7           In models of carcinogenicity on every single  
8   front, the criticisms are asking the Air Resources Board and  
9   Cal EPA to sort of reject standard approaches to handling  
10  these public health matters and accept alternative  
11  hypotheses, and this is just but one example, I think, of a  
12  theme, which I find actually quite troubling in the  
13  criticisms overall, troubling not because it tends to make  
14  me feel the criticisms are valid, but troubling because  
15  what's being asked is to set a precedent in a rather bizarre  
16  direction.

17           DR. KENNEDY: Two points.

18           First question is what happens if you use Crump's  
19  methodologic approach in looking at some very obvious  
20  problem like the effect of cigarette smoking on lung cancer?  
21  What do those groups look like? Can you show that  
22  cigarettes don't produce lung cancer?

23           No response?

24           DR. ALEXEEFF: I don't have an answer for that.

25           DR. KENNEDY: Was this the only difference in

1 Crump's analysis?

2 DR. ALEXEEFF: No.

3 DR. KENNEDY: He also used a different control  
4 group?

5 DR. ALEXEEFF: This just gives a sense -- excuse  
6 me?

7 DR. KENNEDY: He also used a different control  
8 group?

9 DR. ALEXEEFF: Not in this analysis. Just  
10 starting to unravel the confusion here.

11 DR. GLANTZ: There's a whole lot of issues.

12 DR. ALEXEEFF: And I think that in this, when you  
13 look at the original Garshick study, the issue of age  
14 collinearity appears to be more important than in the other  
15 exposure scenarios that we'll be discussing.

16 But other issues become important in the other  
17 exposure scenarios. That is what is made difficult to try  
18 to clarify why these results are different.

19 In males it may be a reflection of the study  
20 design, of the study or the analysis approach that makes it  
21 difficult to interpret.

22 CHAIRMAN FROINES: I agree very strongly with Paul  
23 Blanc. I think his points are I think particularly true  
24 when we're dealing with occupational studies and which would  
25 begin to apply approaches by people who that is not their

1 primary area of study. And so you end up, I think, not  
2 understanding some of the complexities about occupational  
3 epidemiology.

4 And I think that's running all the way through  
5 this particular debate.

6 But I think that one of the things we're going to  
7 have to do is to expand further on some of the points that  
8 we make as we go over the next few months in learning the  
9 specific issues.

10 DR. ALEXEEFF: I think one of the issues that I  
11 think would be helpful to us, and I think is that it's not  
12 clear to us what are the generally understood principles in  
13 this type of an analysis, and maybe that's -- maybe that's  
14 one of the issues there.

15 DR. BLANC: Wouldn't it be fairly easy, Stan, with  
16 a simple Monte Carlo modeling to show this collinear effect  
17 and what it would do?

18 DR. GLANTZ: Actually I think they've already --  
19 well, that they're working on that.

20 DR. ALEXEEFF: That is something --

21 DR. GLANTZ: I don't mean to be evasive, but I  
22 mean I've been talking to George and to Stan and we've been  
23 putting a lot of time into trying to figure out what are the  
24 differences in these different analyses and what difference  
25 does it make that they did certain things differently.

1           And one thing that looks important is this  
2 collinearity. I mean, the various inflation factor, I  
3 think, was 15, which is very high.

4           And I mean I start to get paranoid when it's four.

5           And the so -- I mean, one of the things I've been  
6 strongly encouraging the staff to do ever since the workshop  
7 in July, is to really very carefully work out what are these  
8 differences and elucidate them so they could be judged.

9           And I would hope in the document, in the next  
10 draft of the document, the final draft that comes forward,  
11 this stuff will all be spelled out in some depth so readers  
12 of the panel and the public can understand what these  
13 differences are.

14           I mean, some of them, I don't remember offhand,  
15 some of the differences that have been identified don't  
16 matter very much.

17           And then there are a couple of others that do. I  
18 mean this is one.

19           Another one was, I think whether or not you  
20 subtract out background, which I think they should do, I  
21 mean, I can't believe that anybody did an analysis without  
22 subtracting out the background.

23           But at least it's turning out in getting to the  
24 bottom of what these difference are it's not trivial. I  
25 mean, you think we're not talking about, you know, trying to

1 figure out like the internal structure of the sun, but it's  
2 these three papers or four papers. And I mean getting --  
3 figuring out exactly what these difference are isn't  
4 obvious, but I think that's what they're hoping to do here  
5 by making these presentations to the panel.

6 CHAIRMAN FROINES: I would like to propose, we're  
7 having a very good and very long discussion about all the  
8 issues, but out of it we should find some action items.

9 And I think one action item I think we can agree  
10 to as a panel is that we would like to follow that  
11 recommendation as a panel, that the staff of OEHHA go back  
12 and document rather carefully what those differences are in  
13 a way that everybody on this panel can understand, because  
14 people even beyond the panel need to understand precisely  
15 because they are such major issues.

16 DR. SEIBER: Yeah. I'd like, since we have a  
17 member leaving here in a few minutes, I'd like to jump ahead  
18 to the December meeting and see if there's some other steps  
19 we can take now.

20 Now, staff is doing, working with Stan Glantz and  
21 going over and trying to present, articulate the arguments  
22 and give us reasoning where there's difference in opinion.

23 Another way to get information would be to ask  
24 selected people to appear before the panel in December, and  
25 I'd like to throw this out as a proposal, we can decide who

1 those selected people are, that might enrich the argument,  
2 and give us better understanding of the issues, perhaps help  
3 us reach a decision.

4 Now, it's just a suggestion, and I feel that this  
5 would help me personally and I guess I'd like to hear what  
6 the other folks have to say about that at some point.

7 You may not want to take it up now, John.

8 DR. GLANTZ: Well, I think we're going to lose  
9 Paul and I've got to go. And I don't think that's a good  
10 idea. I think that will just confuse matters.

11 We had the workshop in July. We had the  
12 presentations. These issues have been raised. I mean,  
13 they've been highlighted.

14 I have not seen the issues change. I've been to  
15 three or some number of workshops on these. The issues  
16 haven't changed that much.

17 I think it's a much better way to proceed is to  
18 let the staff continue to work through these issues, put it  
19 in writing, circulate it, submit it for public comment, let  
20 people comment in writing and then let that package move  
21 forward.

22 I don't think -- they're sufficiently complicated,  
23 I just don't think that's going to do anything but confuse  
24 matters, frankly.

25 We've had this discussion several times and I

1 really think that the way we operate now with people in  
2 written responses is much better.

3 DR. SEIBER: Since it's a work in progress, I  
4 think that phrase was used, it seems to me to get the key  
5 people who generated the original data --

6 DR. GLANTZ: That's what the workshop.

7 DR. SEIBER: That's what --

8 DR. GLANTZ: But the workshop --

9 DR. SEIBER: The interpretation of their work is  
10 changing with time as we perform these analyses. I  
11 personally I would like to look them in the eye and see what  
12 they feel about this.

13 DR. GLANTZ: Well, I'm very much against that.

14 CHAIRMAN FROINES: Let me make a compromise  
15 suggestion. See, when you move from there to here, you  
16 become the compromise.

17 I may ask to go back to your place.

18 But for at least for this meeting it may be  
19 that -- it seems to me that the work in progress has to  
20 proceed and that we want the written documentation to come  
21 back to the panel with the changes and explanations and  
22 details that we've asked for.

23 And there should be a comment period that follows,  
24 clearly, so that then the panel has the opportunity to  
25 consider those comments.

1           I would then argue that perhaps what we could do  
2   would be to in a sense plan two meetings. If we can do this  
3   logistically, which is not always easy, if there was to be a  
4   short meeting, a meeting in which people came and made  
5   verbal presentations to comment on what had been written and  
6   commented upon written, then that would be all right.

7           I think, though, that when we take the document up  
8   that document should be this group having a discussion to  
9   make a final decision.

10           DR. GLANTZ: Well, based --

11           CHAIRMAN FROINES: Let me just finish.

12           All I'm saying is that I would be open to sitting  
13   in the meeting that was before that, however, to hear  
14   Garshick or Crump or anybody else that wanted to attend, as  
15   long as it was scientific. I don't want to go to a meeting  
16   which is made up of people who are not going to speak to the  
17   issues as scientists. And I'd be willing to go to that  
18   meeting.

19           But then I assert if that meeting occurs, then the  
20   final meeting should not have outside testimony.

21           DR. GLANTZ: You see, you weren't at the workshop  
22   and I was. I mean, I went to the other two, or however many  
23   there were, and frankly the workshop was useful, because it  
24   sharpened a lot of these issues, but I keep -- I haven't  
25   heard anything really really new issues raised on this in

1 about three years, frankly.

2 And what you're asking for is basically a  
3 workshop, another workshop. And if you have another  
4 workshop, it's going to be three more months.

5 And I think at some point you have to bring these  
6 things to closure.

7 The issues were brought forward in the workshop in  
8 July.

9 I think the staff is doing a good job at trying to  
10 understand what the issues are, to respond to them, to  
11 respond to them in a reasonable manner.

12 But I think at this point -- I mean if the staff  
13 wants to hold another workshop and you want to attend it, I  
14 mean, I was there, John was there and Paul was there, I  
15 think were the people who actually showed up, and Jim, and  
16 Jim Pitts was there, yeah.

17 I think that we heard these issues and I think  
18 the -- I really don't want to establish a precedent of  
19 turning these meetings into an open zoo. The purpose of  
20 these meetings are with documents that come before us for  
21 the panel to discuss.

22 The issues are too complicated to think that  
23 somebody getting up and making a five- or ten-minute  
24 presentation is doing to do anything. At that point we need  
25 to hear what we think.

1           Now, if people think having yet another workshop,  
2   and you want to come to it to discuss this yet another time  
3   is going to add anything, I mean, I guess it will delay  
4   it -- it will add three more months to the program because  
5   you got to have all this public notice and this, that and  
6   the other thing.

7           I think the issues have been laid before us. The  
8   staff is working very hard to try to clarify them. That  
9   document will go out for a public comment. People can  
10   comment. And we should just read them.

11           And I -- if the panel wants -- if the panel wants  
12   to -- if the panel wants to recommend another public  
13   workshop --

14           DR. SEIBER: You're using the wrong term.

15           We have a meeting scheduled in December. We've  
16   been on opposite sides of this debate, I know, for several  
17   months.

18           I feel that we ought to be able to have  
19   commentators come into our meetings under our set of rules  
20   and make comments that will help us make a decision.

21           This is such an important decision.

22           I personally, I'm not speaking for the rest of the  
23   panel, I personally want to have the best information I can,  
24   sharpened as well as possible, before I make a personal  
25   decision on this.

1           And that would help me.

2           There is some new information coming forward. We  
3 heard about a CE CERT study that the timing is a little bit  
4 off, it wouldn't be done until January, we're talking  
5 December. That's new information.

6           Maybe there's others. Somebody mentioned Allen  
7 Smith's input into the process. That might be a person that  
8 we can hear from. Could be a helpful comment.

9           So when I mull all these things over and I'm  
10 taking notes and looking at what's known and what's  
11 uncertain, I just see a lot of things that need to be  
12 clarified.

13           Maybe the staff's redo of the report will answer  
14 all the questions. Personally I doubt it. I think as a  
15 panel member I can be helped by having some outside input.

16           DR. BLANC: I think that your hesitation reflects,  
17 I think, the prime point that we're currently at. I think  
18 that you should hold off on it until you see what the  
19 response is.

20           Basically all we're hearing today is a reiteration  
21 from the presenters of what was already said in terms of  
22 questions raised at those workshops.

23           And what we have not heard, to any sufficient  
24 extent, is the response of the staff.

25           Now, it's possible that once you hear the response

1 of the staff, you'll still feel that the critique as made  
2 was not appropriately addressed.

3 But I think it's certainly premature to ask for  
4 the critique to be reiterated before we've heard the  
5 response to the critique.

6 What we're hearing today is not the response to  
7 the critiques, it's the delineation of the critique and that  
8 tends to give the impression of reinforcing the critique  
9 inappropriately, perhaps, because we're not hearing the  
10 thought-out response.

11 And it may very well be that after we hear the  
12 response to the critique there will be other questions  
13 raised.

14 But I think it's really premature to say that and  
15 I certainly wouldn't want to embark on a rehashing of the  
16 previous critique that was made.

17 What I want to hear is a well-formulated response  
18 from the Air Resources Board and Cal EPA.

19 And I think our role here today is simply to  
20 delineate those areas in which we are most anxious to hear a  
21 response.

22 CHAIRMAN FROINES: Let me, the prerogative of the  
23 chair, Stan.

24 I think that that's -- I agree with you. I think  
25 Stan's wrong on this one, as much as he and I usually agree.

1           I think that in fact there are -- this has been a  
2   very substantive discussion with a lot of new ideas being  
3   raised. I think we have more substance in this discussion  
4   than some of the meetings we've had in the past. And I  
5   think it's important.

6           And so I do think the staff going back and  
7   responding to the things, to the issues that we're raising,  
8   but also to continue the process of addressing these issues  
9   is really quite important.

10          I think we can have the document prepared. It can  
11   go out for comments.

12          We can then decide if we want to hold a hearing in  
13   which others would come and testify before holding the final  
14   meeting to deal with the document. And we can decide that a  
15   month or two or three down the road from now and we don't  
16   need to make that decision right now.

17          And if we don't -- if we keep arguing this point  
18   we'll never get back to the substance, which I think is  
19   problematic.

20          So what I'd like to do is hold this issue,  
21   consider it as we've gotten comments, and then consider how  
22   to go forward and we can do that.

23          DR. BLANC: John, can I time check? It's ten  
24   after 1:00. Can I assume that we're going to be breaking in  
25   about five minutes?

1           CHAIRMAN FROINES: Yes. Because I just got a note  
2 saying George has been on the hot seat for two and a half  
3 hours and we can use a break.

4           DR. GLANTZ: If I can just say one other thing  
5 before we break.

6           I mean, we -- one of the reasons that we're having  
7 this meeting today, which is kind of unusual in and of  
8 itself to be kind of talking about a document that hasn't  
9 been formally put before us, is because to try to give the  
10 staff some guidance from this panel about what they ought to  
11 be doing.

12           Because they're getting lots of guidance from the  
13 public. They've got public comments, see, these are the  
14 comments.

15           And I think that what they were looking to was to  
16 try to get some reaction back from us about what ought to be  
17 getting done out of this thing, so that when the document  
18 comes to us as an action item they will -- they won't get  
19 blindsided.

20           CHAIRMAN FROINES: They're getting lots from us.

21           DR. GLANTZ: I'm not saying they're not. That's  
22 the purpose of this meeting, is to get some feedback from  
23 the panel on these issues, rather than waiting until the  
24 finished documents are put in front of us and have somebody  
25 say, well, what about this.

1 CHAIRMAN FROINES: I can argue in fact since --

2 DR. GLANTZ: It was your idea to have this  
3 meeting.

4 CHAIRMAN FROINES: I would argue that we have a  
5 model here today, that is that we should have in a sense a  
6 meeting to talk about the science sometimes out of the  
7 pressure of finalizing the document. I think that sometimes  
8 putting those two things together, and they're always a time  
9 crunch, puts us under pressure where we don't have this  
10 quality of discussion. And it may be that we should have  
11 two meetings a week apart sometimes, to really -- or  
12 whatever the timing may be -- but meetings where we can  
13 really go at the science as best we can and they get down to  
14 decision making. I think it would be more fruitful in the  
15 long run.

16 DR. BLANC: Can I make a couple comments because I  
17 won't be here in the afternoon session.

18 I want to, in terms of giving guidance for this  
19 revision, the areas that I see in a more global sense, my  
20 take on the document is that it does not give enough  
21 emphasis to non-cancer health effects, that reiterating what  
22 John said about not losing sight of the forest, that in fact  
23 there are two fronts on which arguments can be made.

24 One is related to human carcinogenesis, the other  
25 is related to other human health effects which are quite

1 serious and in particular relate to issues of at-risk  
2 populations, and that it does the document a disservice to  
3 so underemphasize those, and that I as a scientific reviewer  
4 would be -- would tend to be more convinced by arguments on  
5 two fronts, which were tended to more likely than not make  
6 me treat this exposure as a hazardous air pollutant, a toxic  
7 air pollutant, per the criteria that have been delineated by  
8 statute.

9           So in particular to get to specifics, I think that  
10 the document does not adequately evaluate emerging data on  
11 the potential role for diesel particulate exposure in terms  
12 of airways diseases, including allergic airway diseases,  
13 upper and lower, the potential for its relationship to  
14 bronchospasm, the acute health effects that could be  
15 quantified for human control, human exposure studies in the  
16 laboratory, the animal data emerging in relation to  
17 immunologic effects related airways responsiveness. That's  
18 one area globally that I think has been unemphasized.

19           I think that in terms of the carcinogenesis issue,  
20 I couldn't agree more with what Stan said about the approach  
21 to the overall strength of the consistency of the reported  
22 associations and a series of data, and I think that a  
23 relative risk of 1.4 for an environmental exposure is by no  
24 means a weak association at all. It would be useful to have  
25 the document put into context with some of the other

1 well-accepted associations are, not just for ETS and lung  
2 cancer risk, but other environmental exposures and effects,  
3 be they carcinogenic or non-carcinogenic.

4 I think it would be useful to have the Cal EPA go  
5 back and try to clean up some of this morass about the  
6 Garshick study, but I by no mean feels that this study --  
7 this report rests or falls on the analysis of those data.

8 And I think that for some reason, which is  
9 understandable based on the history of preparing these  
10 documents, too much emphasis has been put on the  
11 quantitative risk assessment of the carcinogenic risk,  
12 because that's what you typically do in these documents,  
13 rather than looking at to what extent you can quantify it,  
14 yes, but to what extent you would take the approach of  
15 looking at non-cancer end points.

16 And in particular what is -- do you still feel  
17 confident accepting the EPA point five -- no, five micron --  
18 five microgram per cubic meter diesel levels as being your  
19 non-carcinogenic, no effect level, both in terms of what the  
20 contribution is to PM 2.5 or even PM 1, potentially. And  
21 also in terms of what these new data are suggesting in terms  
22 of non-carcinogenic influence.

23 That would be my guidance of the things I want to  
24 see.

25 CHAIRMAN FROINES: I think we're going to break

1 now. What should we do, 45 minutes? We'll be back here at  
2 2:00 o'clock.

3 (Thereupon the lunch recess was taken.)

4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

1                   A F T E R N O O N       S E S S I O N

2                   CHAIRMAN FROINES:  Okay.  George, we have a quorum  
3  and we're set to go.

4                   DR. ALEXEEFF:  I'd just like to sum up briefly on  
5  No. 3.

6                   As I indicated, there were three different  
7  exposure patterns that had been used in trying to analyze  
8  and reanalyze the Garshick data set.

9                   As I started to discuss, there are criticisms that  
10 have been provided to us, or comments, let's say, provided  
11 to us that questions the validity of each one of these type  
12 analyses and each one of these approaches.

13                   And the issues that are involved with each one  
14 vary, and that is what has in part created some of the  
15 confusion.

16                   However, at the same time I'm not sure if  
17 ultimately a resolution will ultimately occur, although that  
18 would be what we would desire, but hopefully we can at least  
19 identify what are the major assumptions that are resulting  
20 in different analyses and then we may not be able to resolve  
21 which assumption is more appropriate.

22                   CHAIRMAN FROINES:  You can't do a Monte Carlo?

23                   DR. ALEXEEFF:  I had a similar slide.  Here it is.

24                   So we would like to propose to go back and revise  
25 this portion of the document regarding the cohort study.

1           We want to continue to look at these analyses,  
2   these difference exposure patterns.

3           First of all, I want to look at the reanalysis  
4   conducted by Dr. Garshick on the original cohort to  
5   determine whether or not a risk assessment based on the  
6   original cohort would still be valid.

7           Second of all, I wanted to clarify the differences  
8   between our analyses and the analyses of Dr. Crump to look  
9   at how looking at the individual data, whether or not that  
10   would be a useful approach to risk assessment.

11          And after we look at those various approaches we'd  
12   like to update the calculations, make whatever revisions to  
13   the document are necessary with regard to this specific  
14   comments, as well as these general comments, and then based  
15   on the results of one, two and three, reevaluate whatever  
16   risk calculations are made with this cohort.

17          That's basically our proposal.

18          CHAIRMAN FROINES: Leave it up there.

19          Stan is not here, but it seems to me that this is  
20   an issue which the panel can give you advice on, and it  
21   seems to me to make sense for us to say to go ahead with  
22   these four approaches.

23          DR. ALEXEEFF: What we are attempting to do is  
24   simply look at each assumption that's made. For the key  
25   assumption, those seven, and maybe a few others just to see

1 under which circumstances they influence the results and in  
2 which direction they may influence the results, to see what  
3 we can ascertain about that.

4 DR. FRIEDMAN: One thing I'm not clear on, I  
5 understand that after Dr. Crump did his analyses, Dr. Dawson  
6 did some additional analyses.

7 DR. ALEXEEFF: Yes.

8 DR. FRIEDMAN: Are those part of this picture and  
9 are -- how do those relate to what you're planning to do?

10 DR. ALEXEEFF: That is the case.

11 The actual comments we received, okay, were on our  
12 use of the original cohort data, okay. So that first  
13 exposure pattern, that was one set of analyses.

14 There was a set of second set of comments  
15 regarding the original 1991 submission that Crump did and  
16 the results of that.

17 And then there was a third set of comments or  
18 issues raised with regards to our reanalysis of the data and  
19 the issues resolving in those, the analyses.

20 And in particular the issue that again results is  
21 this is our reanalysis, although this is Dr. Crump's  
22 submission, and the issue results -- the issue of concern is  
23 this dropoff, particularly this dropoff point here, and the  
24 issue of whether or not a dose response can be realized from  
25 this analysis.

1           So we're trying to understand are the -- what  
2 factors result in this slightly different display of the  
3 data.

4           But so in our report are the analysis of the data  
5 is there, and the comments, we have comments on our  
6 reanalysis of the data as well. So those would be ones that  
7 we're looking through as well. It's almost like the three  
8 sets of analyses that we're trying to reevaluate, but it  
9 could be that only one of those approaches is the most valid  
10 or could be that they all have some validity or that they  
11 all are hopelessly not resolvable. I mean, there's a  
12 number of options.

13           DR. FRIEDMAN: We have heard the basic difference  
14 between the first two was that the adjustment for age was  
15 based on the age of entry.

16           DR. ALEXEEFF: Right.

17           DR. FRIEDMAN: And the second was based on the  
18 adjustment for attained age.

19           DR. ALEXEEFF: Correct.

20           DR. FRIEDMAN: Could you tell us just briefly that  
21 the third set of analyses, what's different about that?

22           DR. ALEXEEFF: For the first set, the age variable  
23 appears to be an important variable. And part of it  
24 probably has to be, although in the exposure pattern since  
25 it only goes from 59 age, age variable becomes very

1 important, when you have the ramped exposure or the roof  
2 exposure and you have a larger age distribution or the  
3 exposure age, that factor seems to be as important. Okay.

4 Now in the --

5 DR. FRIEDMAN: I'm sorry. I don't understand what  
6 you just said.

7 DR. ALEXEEFF: For the original Garshick study,  
8 the expression of age appears to be an important factor.

9 In the second study, or reanalysis by Dr. Crump,  
10 there were -- one of the major issues raised is that even  
11 with the ramp approach there is some instability in the  
12 results based upon how one classifies the exposure groups.

13 And then in the third approach, the roof approach,  
14 the comment is that even with the roof approach this is not  
15 a linear situation and therefore then a slope is not  
16 appropriate.

17 DR. FRIEDMAN: Was there any difference in the way  
18 age was categorized in the third approach?

19 DR. ALEXEEFF: Actually, in this third approach we  
20 only categorized it by attained age. Okay.

21 But was that correct? Maybe Dr. Dawson should  
22 clarify this.

23 We did attained age in the biologically-based one.

24 DR. DAWSON: This particular graph, which is in  
25 our report, is for using -- it's externally standardized to

1 start with by the U.S. lung cancer rates.

2 Then in addition to that it has a covariant for  
3 birth cohort, that is age in 1959.

4 So, you know, that is the basis for that.

5 But the thing that I'd like to point out is that  
6 in these roof-type approach, in our hands how you adjust for  
7 age is far less important than in using that lock, just from  
8 1959 on, because, presumably because you have different  
9 starting ages, different starting exposure times, and so  
10 your collinearity becomes much less of a problem in that.

11 So if you -- in the report we adjust for age a  
12 whole bunch of different ways and the results in slope don't  
13 vary that much. That's right in the report.

14 And also not in the report is pictures of how this  
15 visual trend looks and they jump around a little bit, but  
16 not substantially.

17 DR. FRIEDMAN: Your trend looks pretty much like  
18 Crump's trend, right? Am I --

19 DR. DAWSON: That's a reproduction of his, and in  
20 some ways it is like his, yes.

21 DR. ALEXEEFF: No, what you meant was this looks a  
22 little like the original result that I showed you. Is that  
23 what you're saying?

24 DR. FRIEDMAN: You showed the original showing a  
25 nice dose response trend. Then Crump's, his high dose level

1 came back down to almost the base line.

2 DR. ALEXEEFF: You're saying this looks like that?

3 DR. FRIEDMAN: Yes. And this is the third one,  
4 right? Looks like that?

5 DR. ALEXEEFF: Yes.

6 DR. FRIEDMAN: I keep hearing about big  
7 differences between what you and Crump found and doesn't  
8 look that way.

9 DR. DAWSON: No, that's right. Except that when  
10 he does the analysis himself, he uses this business of  
11 keeping background in the calculations. He doesn't take  
12 background out. So he does get different results and it  
13 jumps around in different ways, I guess.

14 And it tends to give much less statistically  
15 significant slopes than this does.

16 Our results all gave statistically significant  
17 slopes. That is in the report. Whereas his do not.

18 DR. FRIEDMAN: I see. So even though it doesn't  
19 look like there's a trend, if you do some kind of regression  
20 analysis, you do get a significant --

21 DR. DAWSON: That's right.

22 In some ways this trend business, I'm beginning to  
23 think is a little bit misleading, but those are big clouds  
24 of points, they don't just represent a single point, but  
25 they're exposures over a wide category and the responses

1 over a wide category, so there's big clouds of points up  
2 there that we tried to represent by arrow bars, but maybe  
3 it's not clear enough.

4 DR. FRIEDMAN: I wonder if it would be useful to  
5 show the cloud.

6 DR. DAWSON: They begin to look really funny when  
7 you try to do that, at least all the ways I thought of.

8 If you get zeros and then spikes and a whole bunch  
9 of -- I've seen them plotted that way. It looks really  
10 weird, but maybe it would be worth it to do it that way.

11 DR. FRIEDMAN: You know, the scatter plots without  
12 lines between them, maybe you can see a trend in some of the  
13 uncertainty. I don't know. I'm just leaving it to you to  
14 decide the best way to present it, but I'm wondering if you  
15 feel that this is misleading to see it in this way, whether  
16 that it would be helpful. I don't know.

17 DR. KENNEDY: Excuse me. I'm pretty much a  
18 neophyte in all of this and this may be a really dumb  
19 question, but as I understand it, much of the importance of  
20 your slope and much of the thrust of the criticism is based  
21 upon your use of this information to develop not only a zero  
22 point, but to then to make recommendations about ambient  
23 exposure and risk.

24 What I'm seeing -- is that correct? Is that a  
25 totally wrong assumption?

1 DR. ALEXEEFF: I think the issue is applying this  
2 type -- first the analysis and then applying the results of  
3 the analysis to ambient exposure.

4 DR. KENNEDY: That's sort of what I'm getting at.

5 What comes up over and over and over again in  
6 these discussions is that pretty clearly something is going  
7 on here that isn't pleasant. This stuff is doing something  
8 bad.

9 I guess the question is at this point, based on  
10 the available information, can you really make that final  
11 recommendation and can you make that final assumption? Is  
12 it adequate to simply say the data -- the consistency of the  
13 data are not to show that too mics per meter squared per  
14 whatever it is represents toxic threshold, but to simply say  
15 that is it a question, the issue of diesel exhaust as a  
16 health risk in this case, specifically in terms of its  
17 carcinogenic risk, is clear. What is not clear is that upon  
18 that piece of information.

19 DR. ALEXEEFF: Well, I think that in the first  
20 couple of slides that I had information from the Health and  
21 Safety Code, when it asked us to evaluate the health  
22 effects, there is this statement in there that if there's a  
23 threshold, we're supposed to develop a level, essentially  
24 like a safe level.

25 And then at least to get -- apparently to give us

1 some flexibility it says, well, if we can't find the exact  
2 threshold, just kind of give a range of what the risks are,  
3 and that comes out to be a lot of work. I think it was  
4 written in a way to try to make the process move, but I  
5 think in the end we're really focusing on what's the top of  
6 the range, what's the bottom of the range.

7 Now, whether or not we can proceed without having  
8 a range as part of our analysis, I think I leave it to the  
9 Air Resources Board to let us know how the process might be  
10 affected on that.

11 DR. KENNEDY: I commend you on the incredible  
12 amount of work that you've done trying to find the truth in  
13 this. I think I'm sort of in awe of it.

14 But certainly from the animal data it's awfully  
15 hard for me to see, based on the mechanism that comes out of  
16 what is available, I can't make a comfortable jump to people  
17 because the difference and the whole issue of clearing  
18 mechanism. So you may be stuck.

19 DR. ALEXEEFF: Right. Well, there is, I think one  
20 thing I think we would like to do is there are in the  
21 document at least four pieces of evidence, four pieces of  
22 quantitative information. One is the non-cancer information  
23 I went through earlier today, which does provide us some  
24 quantitation.

25 And then there are three methods of calculating

1 risk. One is this animal data and there's actually  
2 sub-methods within that.

3 And then there's these two epidemiological  
4 cohorts.

5 So we're not required to calculate a risk for  
6 every data set we can find. So if when we -- when all is  
7 said and done and the dust settles, if there is a data set  
8 remaining that one could quantify the risk, then I think  
9 that would be sufficient to move us along.

10 Or in the past we've often identified what we felt  
11 was clearly the superior data set and decisions were made on  
12 that basis.

13 So I think what we feel compelled to do is to look  
14 at each one and to try to analyze it, look at the comments  
15 made and to see if the data set can stand up or not and if  
16 not move on to the next data set and then see what remains  
17 in the end.

18 CHAIRMAN FROINES: Let me move it ahead, because  
19 this actual discussion is a good one, but I think it's one  
20 of the discussions we're going to have to have when we  
21 actually review the document and make some final decisions  
22 and so we are -- this discussion will clearly come up again  
23 in a different context.

24 So I want to go --

25 DR. BYUS: Let me make one comment, John, one

1 sentence, and that is I -- most of this controversy seems to  
2 be on establishing the dose response relationship or the  
3 epidemiology data, not establishing the overall correlation.  
4 It's not surprising with a relative risk of 1.5 that it's  
5 going to be difficult to do, to establish the dose response  
6 relationship.

7           So when you do the analysis, just in the final  
8 document, really make it to -- it would help me if you made  
9 it clear all the discussion of Crump and everyone, their  
10 assumptions, how does that affect -- if you lumped all the  
11 data together, how does that affect the overall association  
12 and relative risk of 1.4 as opposed to teasing out a dose  
13 response relationship.

14           I think there are two different things, they are  
15 in my mind.

16           Clearly you want to try to get to the dose  
17 response relationship, but if you can't get it, you still  
18 have the original association and you don't really want to  
19 confuse the two things, because I think that's what's sort  
20 of happening. It's sort of happening in my mind.

21           DR. ALEXEEFF: I think that actually Dr. Kennedy  
22 was in some ways speaking to the same thing.

23           DR. BYUS: Same thing.

24           DR. ALEXEEFF: Can we have an occasion without a  
25 quantification to his response.

1           CHAIRMAN FROINES:  Or what is -- I would like to  
2   avoid this discussion, because I think it for -- because  
3   we've been very successful about talking about science all  
4   day and we're now slightly bordering on policy-related  
5   questions, because it goes to what is the level of the  
6   evidence with respect to dose response that's required in  
7   terms of defining a substance as a toxic air contaminant.  
8   And those are issues yet to be defined.

9           We clearly have extremely strong evidence for the  
10   qualitative issue.  I don't think there's much debate over  
11   whether diesel is a carcinogen.

12           The question has to do more with dose response and  
13   that there is also evidence for nonmalignant respiratory  
14   effects.  There is increasing evidence, especially out of  
15   UCLA, on diesel exhaust and its relationship to asthma and  
16   allergic rhinitis.

17           So that there are a number of -- and Paul was  
18   talking earlier about acute effects.

19           So when we look at acute effects, asthma and  
20   allergic rhinitis, nonmalignant respiratory disease and  
21   cancer, there's certainly a body of evidence developing  
22   about toxicity associated with diesel exhaust.

23           The question though that we're clearly going to  
24   have to spend a lot of time on is what is the dose response  
25   within that context, and what is the level of evidence

1 required in terms of determining some of the substance of  
2 the toxic air contaminants.

3 And I don't frankly believe that George has to  
4 have the number down so he could put it into Grauman's  
5 Chinese Theater, you know, next to Frank Sinatra or  
6 something.

7 So those are things we are going to have to  
8 consider, because they mix policy and science.

9 DR. ALEXEEFF: So I think we're done --

10 CHAIRMAN FROINES: I think basically the panel is  
11 agreeing for you to proceed on that basis.

12 DR. ALEXEEFF: So the next issue is where should  
13 we go from here in terms of analysis. Did you want us to  
14 now go through the discussion of the meta-analysis or to  
15 the -- we're at No. 3.

16 Now which issues --

17 CHAIRMAN FROINES: Meta-analysis, and then we're  
18 going to go to Joe Mauderly.

19 For those that don't know, and are interested,  
20 Mauderly has a nice book out that came out from last year,  
21 called Particle Overload in the Rat Lung and Lung Cancer,  
22 and it discusses a lot of these issues, so if anybody wants  
23 to borrow it, I can ship it to you. If anybody wants to  
24 look at it with some care.

25 DR. GLANTZ: Can I just say one other thing?

1           CHAIRMAN FROINES: You mean you think that by  
2 walking in here late, you now get to have --

3           DR. GLANTZ: My students are like we're now a  
4 whole lecture behind in my course.

5           One other thing, I just wanted to -- not that I'm  
6 trying to open up another rat's nest or anything, but I  
7 think it would be worth at least adding some discussion if  
8 there's any data on it for cardiovascular end points. This  
9 is a kind of building onto what Paul said.

10          Because I realize that there's not a whole lot of  
11 data out there probably, but if you look at my beloved ETS  
12 literature, there's good evidence that -- good animal  
13 evidence that 1,3-butadiene facilitates atherosclerosis, and  
14 I think there's a lot of 1,3-butadiene in diesel exhaust.  
15 And also benzopyrene.

16          And there's at least some evidence out there in  
17 the literature that particulate air pollution is related to  
18 cardiovascular mortality.

19          My guess is there isn't enough information out  
20 there to do anything very quantitative, but I think in the  
21 interest of completeness, and since you don't have anything  
22 else to do, it would be worth -- that was a joke, George.

23          I think it would be worth at least doing a  
24 literature search on it and putting in some discussion of  
25 that to --

1           CHAIRMAN FROINES: Why don't you assign that as a  
2 class project in your biostat class, since all your students  
3 are interested now.

4           DR. GLANTZ: That was like Biostatistics 1 and  
5 they came in and were totally snowed. Actually, they said  
6 that it was very interesting and they could follow it, until  
7 we got into the argument about the workshop, and then they  
8 said it was too sophisticated. Too esoteric at that point.

9           I'll shut up and start writing notes.

10          DR. SEIBER: Just one comment.

11          I was wondering about cardiovascular effects too  
12 and if there are any, wouldn't they be in the same  
13 epidemiological studies that we're looking at? Didn't they,  
14 when they looked at the death certificates and so forth,  
15 distill that out or maybe it just wasn't addressed. I don't  
16 know.

17          DR. ALEXEEFF: Well, I don't -- Michael, have you  
18 seen any information on cardiovascular effects?

19          DR. DAWSON: Yeah. The cohort study for which we  
20 have the data with the 55,000 people has the cause of death  
21 coded. And so all those cardiovascular deaths are right  
22 there. In fact, Dr. Crump, in one of his submissions,  
23 called attention to the fact that cardiovascular deaths were  
24 also increasing in this. And but, you know, just something  
25 that we haven't gotten to doing.

1 DR. ALEXEEFF: Actually, on a follow-up, I think  
2 that last week Dr. Crump made the presentation showing that  
3 the trend that he was finding in lung cancer was also a  
4 similar cardiovascular trend of going up and then going  
5 down.

6 But, anyway, there hasn't been much analysis, but  
7 there appears to be some data out there.

8 CHAIRMAN FROINES: I really want to push us along.  
9 I think we're going to run out of steam and it's been going  
10 very well so far.

11 So there's an action note that you'll look at  
12 cardiovascular to see what is there. It may be secondary to  
13 respiratory.

14 DR. GLANTZ: I think if you look in the ETS  
15 report, I think you'll find some mechanistic stuff that  
16 might be helpful for some of the shared PAHs and stuff.

17 CHAIRMAN FROINES: You said something that made me  
18 curious, and I know now that I'm out of turn, but if  
19 somebody does know the concentration of butadiene in diesel,  
20 I'd be very interested in learning that.

21 Let's go ahead.

22 DR. LIPSETT: All right. My name is Dr. Michael  
23 Lipsett. I haven't really been involved in this diesel  
24 process until a little bit more than a year ago when I was  
25 asked to undertake a meta-analysis of the relationship

1 between occupational exposure and lung cancer.

2 And so what I'm going to talk about now briefly is  
3 what -- I'm going to skip a few of the transparencies, but  
4 I'm going to talk about what we did in this meta-analysis  
5 after first giving you a little bit of background about what  
6 meta-analysis is useful for.

7 And then I'm going to talk about the substance of  
8 some of the comments that we received and how we plan to  
9 respond to those.

10 First, these were the commentators from whom we  
11 received comments, specifically on the meta-analysis.

12 Now, what is meta-analysis good for? What are  
13 they used for generally? It's two purposes.

14 One is to provide summary estimates of effect,  
15 summarizing a body of research.

16 But when you combine data from a number of  
17 different studies to provide such a summary estimate of  
18 effects, one of the underlying assumptions is that these are  
19 homogeneous with the respect to the effects being measured.

20 And when you deal with occupational studies, you  
21 don't necessarily think about homogeneity, you consider  
22 differences in exposure patterns, differences in the  
23 industries, differences in times people were followed,  
24 differences in study design, differences in the types of  
25 analyses that are done and whether or not different biases

1 are controlled for, confounders, this type of thing.

2           So in occupational epidemiology, you think  
3 actually that apriori you think about heterogeneity being  
4 kind of like the basis from which you're going to be  
5 starting.

6           So that brings us to what the other purpose of  
7 meta-analysis is. And that is when you do find that there's  
8 evidence of heterogeneity, that is wide variability of  
9 results of different studies, you can use meta-analysis to  
10 explore what are the reasons for this heterogeneity, what  
11 are the study characteristics that underlie these  
12 differences of results.

13           And that's the goal, not always successful, but  
14 that's what we tried to do here was to both degenerate  
15 summary estimates, at least for subsets that turned out to  
16 be relatively homogeneous, and also to explore what are the  
17 reasons underlying heterogeneity.

18           Now, there are a lot of limitations of  
19 meta-analysis. I'm not going to go into all these things  
20 here, but one of the principal ones you need to be aware of  
21 is that it can't be used to answer questions of causality,  
22 per se.

23           George briefly went through some of the other  
24 standard Bradford Hill criteria for examining causality,  
25 based on epidemiologic studies. That is covered in our

1 Chapter 6 as well.

2           So in this meta-analysis we started with a  
3 literature search, trying to identify as many studies as we  
4 could, published between 1975 and 1990 using meth line, tox  
5 line, and we supplemented the retrieval of these studies  
6 identified electronically with manual retrieval, additional  
7 studies that were cited in those.

8           And we had set up some, initially some inclusion  
9 and exclusion criteria related to what studies were going to  
10 be involved in meta-analysis.

11           At the outset we excluded studies that were minor,  
12 and the reason that we excluded minor studies was that there  
13 would be current -- likely to be current exposure to other  
14 known pulmonary carcinogens, silica, arsenic, radon, a  
15 couple of these which interact also with cigarette exposure  
16 or with tobacco smoke and felt that that would be too  
17 confusing to include those in this particular analysis.

18           So excluding those initially, we had the criteria.  
19 The first two were obvious, to lung cancer, the exposures  
20 needed to either refer to diesel exposure or occupational or  
21 potential diesel exposure.

22           We had to have in the study a presentation of the  
23 estimates of relative risk or standard errors for data that  
24 allowed us to calculate this information.

25           One of the things we were concerned about too was

1 inadequate latency. And we included a number of studies  
2 where there was clearly very adequate latency, that is  
3 allowing enough time to elapse between initial exposure and  
4 the follow-up to make sure that there would have been  
5 opportunity for lung cancer to develop and to be manifested  
6 then.

7           A number of studies were included also where it  
8 was not absolutely clear this was the case, but we were  
9 pretty confident that they were, because it covered a long  
10 time interval and they covered a period during which  
11 dieselization had been more or less effectuated in that  
12 particular industry.

13           We also excluded some studies that didn't follow  
14 people up past retirement age. And whereas this is the  
15 period in which a lot of lung cancers are manifested is  
16 after retirement age, we felt that this would produce  
17 distorted estimates of relative risk if we included those  
18 studies.

19           And finally the studies needed to be independent.  
20 And there are several cohorts or groups of workers for which  
21 multiple publications could be found and tried to include  
22 those that best met the other preceding criteria.

23           So having said what the inclusion-exclusion  
24 criteria are, we found 47 potentially eligible studies, 16  
25 of which did not -- were excluded on the basis of those

1 criteria.

2           Of the remaining, from the remaining 31, we  
3 derived 40 risk estimates, and that may seem little bit  
4 puzzling, but a number of these studies didn't just look at  
5 truckers or dock workers, but had multiple occupational  
6 groups that didn't have overlapping personal experience.

7           And so, say, for six of the studies we were able  
8 to get estimates of relative risk for multiple occupational  
9 groups.

10           In terms of the data extraction, I think this is  
11 important to just indicate what we did here as well, was  
12 that we took the estimates of relative risk which included  
13 either odds ratios or standardized mortality ratios and  
14 persistence ratios, we extracted these, and we ended up  
15 calculating the standard errors principally from the  
16 confidence intervals. And either from confidence levels or  
17 in a couple of case from the stated P values.

18           And the specific estimates of relative risks were  
19 those that were taken from individuals in a cohort, say,  
20 that had the highest or longest level of exposure. Those  
21 are the most diesel-specific occupations or exposure groups,  
22 so in some instances some studies had, say, a category of  
23 general professional drivers and they also had truck  
24 drivers. We preferred to take the truck driving, long-haul  
25 truck driving industry over just drivers generally.

1           We used adjusted rather than unadjusted estimates  
2 when those were available, just for confounders.

3           And as I mentioned, we prefer -- several studies  
4 we had multiple estimates of effect in different  
5 occupations.

6           There are two general models that are used in that  
7 analysis. We used both in this particular one.

8           There's a fixed effects model. This assumes that  
9 each study is estimating the same relative risk. Okay. It  
10 assumes an underlying homogeneity.

11           As I indicated earlier, I think that this is  
12 probably unrealistic when you're dealing with occupational  
13 epidemiology studies.

14           And the alternative to doing this is using a  
15 random effects model, which doesn't assume a single comment  
16 underlying relative risk, but allows for this kind of  
17 heterogeneity and generation of risk estimates.

18           And in the random effects model, at least the one  
19 we used by DerSimonian and Laird, each one of the studies  
20 when you develop the pool estimates is weighted by the  
21 inverse, not only on it's own variance, but the interstudy  
22 variance.

23           Okay. In our initial analysis of taking all the  
24 studies together, there was significant heterogeneity and  
25 basically that pool of a lot of different studies that had

1 estimates that were very broad ranging. So statistically,  
2 and Dr. Glantz might want to weigh in on this here, too, is  
3 don't consider this appropriate to have this summary  
4 estimate of effect when you have that kind of heterogeneity.

5 But so we explored what were the potential sources  
6 of this kind of heterogeneity. And so we took subsets. We  
7 took different occupations, say truck drivers or dock  
8 workers and stevedores.

9 We took smoking-adjusted studies versus those that  
10 didn't adjust for smoking.

11 We just repeated this by doing the subsets over  
12 and over again and doing the basic kind of valuation of both  
13 what are the pooled risks and whether or not there was  
14 heterogeneity in those estimates.

15 And basically this was -- to do this we had  
16 created different indicator variables in order to do this  
17 sort of thing.

18 In addition we undertook a variety of sensitivity  
19 and influence analyses. These, for instance, a number of  
20 the studies that were excluded initially as being repetitive  
21 were redundant with the ones we had included, we switched  
22 places with those. There are number -- also there are a  
23 number of studies where we felt that even in the world of  
24 diesel exhaust exposure, that you couldn't really  
25 distinguish overall motor vehicle exposure from diesel

1 exposure generally, so we excluded those as one basis for  
2 sensitivity analysis.

3 We undertook influence analysis too, which is  
4 basically getting rid of a single study of time and seeing  
5 how that affected the risk.

6 Okay. And what we found, basically, was the pool  
7 estimates of a number of these different subsets that didn't  
8 have substantial heterogeneity or showed they were  
9 relatively homogeneous in the subsets, that they reflected  
10 the existence of a positive relationship between diesel  
11 exhaust exposure and lung cancer.

12 When we -- we were able to identify several  
13 important sources of heterogeneity among the studies as a  
14 whole, and one very important one is whether or not the  
15 studies had adjusted for smoking.

16 The one when we took smoking-adjusted studies, the  
17 pooled estimates we got showed no evidence of heterogeneity,  
18 and it showed a positive statistically significant risk  
19 estimate.

20 In the cohort studies, one of the characteristics  
21 that we had -- study characteristics that we had looked at  
22 was whether or there was a clear, healthy worker. For those  
23 of you who are not involved with occupational analogy,  
24 healthy worker effect is a manifestation and a way of  
25 selection bias, that people who are working are healthier

1    than the general population, at least at the time they are  
2    hired, and also they tend to stay healthier if they stay  
3    employed.

4           For all cause mortality, when you look at them  
5    compared to the general population, which is a number of  
6    these studies did, they appear to be healthier. They have  
7    lower, low cause mortality. And we found with this kind of  
8    selection bias that that is a substantial contributor to the  
9    heterogeneity of the cohort studies.

10           Okay. Within the smoking-adjusted studies too, we  
11   found a modest evidence of exposure response relationship,  
12   which is indicated in the tables in our Appendix D of the  
13   meta-analysis.

14           Okay. And generally when we undertook sensitivity  
15   and influence analyses, these didn't really change our  
16   results much, with the exception of exclusion of one of the  
17   railroad studies. And I'll show this graph momentarily.

18           It was a Finnish study published in 1994 that had  
19   substantially lower risk estimate than the other railroad  
20   studies which are all -- the other ones were all in North  
21   America.

22           This is one also that was compared where the rates  
23   of lung cancer in this population were compared to those of  
24   the general population of Finland.

25           Okay. So graphically, this is from, I think, one

1 of the tables in Chapter 6 of the book, we have -- is there  
2 a pointer here?

3 It's a laser. Thank you very much.

4 So this is the pooled estimate -- sorry this is on  
5 the log scale here, so this is a no effect type of line  
6 there.

7 This is the pooled estimate for all studies  
8 combined. As I mentioned, there was substantial  
9 heterogeneity in this one, so it's not by itself something  
10 we would consider to be -- not appropriate for drawing  
11 inferences from.

12 This is the cohort studies among which there was  
13 also substantial heterogeneity.

14 The case control studies and the smoking-adjusted  
15 studies, which tended to overlap substantially, there was  
16 not evidence of heterogeneity there. And these are ones  
17 for which we feel comfortable saying that this is a real --  
18 what this corresponds to in terms of the relative risk is  
19 about -- a little bit more than 1.4 per each of these.

20 Studies not adjusted for smoking, substantial  
21 heterogeneity there. Truck drivers are relatively  
22 homogeneous. Railroad workers, at least with that Finnish  
23 study, included -- showed substantial heterogeneity, but  
24 when that one was excluded that was the homogeneous group.

25 And here are the number of other groups.

1 DR. FRIEDMAN: Michael, can I just interrupt?

2 DR. LIPSETT: Yes.

3 DR. FRIEDMAN: I feel much more comfortable with  
4 seeing the relative risks, rather than the logs of them, and  
5 I wonder why you put the logs up. I can't translate them  
6 immediately into some number that we all understand.

7 DR. LIPSETT: I'll --

8 DR. GLANTZ: The problem is their graphics  
9 program.

10 DR. LIPSETT: Stan knows the reason. That's  
11 exactly it. There's a problem with the program I was using.  
12 We can have someone else in our department who is better at  
13 graphics display it.

14 When I displayed it out on the untransformed  
15 scale, the confidence intervals on a number of the studies  
16 just went off the scales.

17 DR. FRIEDMAN: You need a log scale, but it's nice  
18 to have, instead of the logs on it, is the numbers, have a  
19 relative risk of one and then a .5 and 2 are equidistant  
20 from the one. And you can read off what the relative risk  
21 is which is --

22 DR. LIPSETT: That would be doable. Thank you.

23 DR. GLANTZ: You can't do logs in your head?

24 DR. FRIEDMAN: I'm pretty good at arithmetic, but  
25 not logs.

1 DR. LIPSETT: This is an example here also of  
2 the -- these are not pooled estimates, but these are the  
3 specific studies in the railroad industry again. And  
4 I'll -- I'm sorry, this is on a log scale as well.

5 This is the estimate for the Finnish study. And  
6 you can see why it was different from all the North American  
7 studies conducted on railroad workers.

8 Why, when this was excluded, and you pooled the  
9 estimates from the other railroad studies, it gave estimates  
10 that were comfortable -- were statistically homogeneous.

11 DR. KENNEDY: Wasn't that study very closely  
12 controlled for smoking, the Finnish study?

13 DR. LIPSETT: No.

14 DR. KENNEDY: No, it was not?

15 DR. LIPSETT: No.

16 And then George had showed this study earlier.  
17 These are all this -- this graphic, these are the  
18 smoking-adjusted studies. Again, on the log scale. And  
19 their confidence intervals.

20 And from which, we ended up with this pool, small  
21 pooled estimate, very very small on confidence interval  
22 there, from pooling these results. It was statistically  
23 significant, it didn't show any substantial evidence of  
24 heterogeneity.

25 So what were the comments we received? And I'll

1 hit --

2 DR. SEIBER: I don't quite understand that last  
3 one that you pointed out with the very small variance.  
4 That's a pool of --

5 DR. LIPSETT: That's a pool of all the  
6 smoking-adjusted studies.

7 DR. SEIBER: Which are all these others?

8 DR. LIPSETT: Right.

9 DR. SEIBER: I'm just trying to figure out how do  
10 you get a pool that has such a small variance when all the  
11 individuals have -- showing my ignorance of biostatistics  
12 here, obviously.

13 DR. LIPSETT: In any kind of study you're going to  
14 have -- this is not too much different from a standard  
15 study. You increase the precision of your estimate by  
16 increasing the number of observations and that's in effect  
17 what's happening there.

18 Stan, did you want to add to that?

19 DR. GLANTZ: Essentially, to grossly oversimplify  
20 it, I mean, basically you're treating it as if it was one  
21 gigantic study, so your sample size and number of events,  
22 when you put them all together, is a lot bigger, so your  
23 confidence intervals are smaller.

24 DR. LIPSETT: Okay.

25 DR. GLANTZ: I assure you that my ignorance of

1 atmospheric chemistry is at least as lean as your ignorance  
2 of biostatistics.

3 DR. LIPSETT: Okay. In terms of the principal  
4 thrusts of the comments that we received, one of them was  
5 that meta-analysis, undertaking a meta-analysis is not  
6 appropriate at all, because of the lack of really good  
7 exposure data.

8 And the next, there were a number of comments that  
9 were received from specific individuals about how certain of  
10 the calculations ought to be revised, we ought to pick a  
11 certain estimate of relative risk, rather than another one,  
12 because many of the studies presented five, six, ten  
13 estimates of relative risk.

14 The third, the comment that we received the most  
15 frequently was that the publication bias invalidates this  
16 analysis. What this refers to is, I'm sure you're all  
17 aware, but bear with me, is that with journal editorial  
18 policies in the past have tended to favor and publish the  
19 statistically significant results and individuals might  
20 have hesitated about submitting papers to journals that  
21 didn't show statistically significant results.

22 So in a meta-analysis where we're looking at a  
23 body of literature, what this will tend to do is favor  
24 finding a positive kind of relationship between your  
25 exposure and outcome, if there is a significant publication

1 bias.

2           And this is something we did address in the  
3 meta-analysis. I didn't want to go into it here, since my  
4 presentation is long enough as it is, but I think we  
5 probably could make the presentation somewhat more clearer,  
6 and in fact the way that we have addressed it in the  
7 publication is graphically. I've modified the graph and I  
8 think it makes it -- in the current version it shows case  
9 control and cohort studies separately, and I've modified  
10 that graphic to combine the case control and cohort studies,  
11 plotting the estimate of effect on the X axis and then the  
12 inverse of the standard error, which is in essence an  
13 indication of the variance of the study on the Y axis.

14           And when you do that sort of thing, if you have a  
15 lower density of points on the lower left-hand side of the  
16 graph, it's an indication that the small, statistically  
17 insignificant studies might not have been published.

18           Anyhow, this is something that we're going to be  
19 addressing in a little bit more detail.

20           In response --

21           DR. GLANTZ: What did you find?

22           DR. LIPSETT: In terms of publication bias?

23           DR. GLANTZ: Yeah.

24           DR. LIPSETT: With this second plot and with both  
25 the other plots too, it does appear that there is a modestly

1 sparser density of points representing the studies in the  
2 lower left part of the graph.

3 But so what that would indicate is that there's --  
4 that it's possible that there might have been some smaller  
5 statistically insignificant studies that were not published.  
6 We don't know that. There are a lot of reasons why studies  
7 aren't published, certainly one big reason is they're  
8 underpowered and they shouldn't be published. I mean  
9 that's --

10 DR. GLANTZ: Yeah. I mean, when you listen to  
11 these arguments about publication bias, I mean one thing  
12 that the people who push it never argue is that the study  
13 was junky, it didn't deserve to be published.

14 You know, the fact is when you look at your  
15 charts, though, there were a lot of those individual studies  
16 which didn't reach statistical significance. So I think to  
17 argue that just because studies weren't reaching statistical  
18 significance in this area and they weren't published, you  
19 seem to have had several of them there.

20 DR. SEIBER: What happened to the -- excuse me,  
21 Gary -- the 16 studies that weren't included, were you able  
22 to look at those and distill any useful information out of  
23 the ones that weren't included in your meta-analysis, but  
24 they still were epidemiological studies.

25 DR. LIPSETT: Like there was, say, if it was

1 excluded for a presentation bias, that is if it didn't have  
2 information that allowed us to calculate a relative risk or  
3 standard error, we couldn't include it. If it was excluded  
4 because it was redundant, that is there were other studies  
5 in the same population, we actually did include it in one of  
6 the sensitivity analyses. We substituted all those for the  
7 ones that had been excluded.

8           So if I think that we did try in a number of ways  
9 to figure out if there were these exclusions if we ended up  
10 with biased results, and I don't think overall, my feeling  
11 is that we did not.

12           DR. FRIEDMAN: If so in this funnel plot there's a  
13 asymmetry on the left side and that there are more small  
14 studies with positive results than with negative, if you  
15 ignore the small studies and look at the larger ones, was it  
16 then more symmetrical and, if so, what would -- did you look  
17 as just the results of just using larger studies with  
18 smaller variances?

19           DR. LIPSETT: I'd have to look at the -- you mean  
20 in terms of the revised plot or the older ones?

21           DR. GLANTZ: He's talking about what you did.

22           DR. FRIEDMAN: Yes. If you have this plot which  
23 shows a deficiency of small negative studies, why not just  
24 eliminate all small studies and then look at the big studies  
25 where I assume there was a balance and there was no

1 deficiency or what did you get or is that the way you  
2 addressed publication bias or maybe you addressed it in some  
3 other way.

4 DR. LIPSETT: Well, I think that's actually that's  
5 not a bad suggestion. I mean, we didn't do it that way. I  
6 mean -- I don't think that there's really any satisfactory  
7 way of addressing publication bias from a quantitative  
8 perspective. I mean, it's not -- the way I've seen it done  
9 and what we did is basically to have this done in a  
10 graphical --

11 DR. GLANTZ: What page?

12 DR. LIPSETT: It's on Appendix page D 27 and D 28.

13 DR. FRIEDMAN: Is there any reason to feel that  
14 large, more reliable studies, negative studies, or studies  
15 with low relative risk were not published?

16 DR. LIPSETT: Looking at this, I would say no, but  
17 as you know patterns are somewhat in the eye of the  
18 beholder, but it would appear that most of the larger  
19 studies tend to center around the central estimate in each  
20 of these instances.

21 So that's a good suggestion, just to do a separate  
22 funnel looking at lower --

23 DR. GLANTZ: What I think Gary is suggesting is  
24 not to do a funnel separate plot, it's to add one more  
25 sensitivity analysis where you're simply excluding the small

1 studies entirely on the grounds that if what you're saying  
2 is it's a chance there's some small negative studies that  
3 you didn't include or that weren't published and as a result  
4 they're not there, so you're overestimating the risk.

5           What he's saying is just exclude the small  
6 studies, period, and see when you look at the large studies  
7 where you get a more symmetrical funnel plot, what do you  
8 get there. Since what you said is they seem to cluster  
9 around a central estimate anyway, you'll probably come out  
10 with about the same answer, and I think that's a good way to  
11 deal with criticism is to say -- because when you do the  
12 meta-analysis anyway, the small studies aren't going to be  
13 weighted very heavily anyway, because they're small.

14           So I think that's a very good suggestion. I agree  
15 with what Gary said. It shouldn't be hard to do.

16           DR. LIPSETT: No, it won't.

17           CHAIRMAN FROINES: In terms of the things that you  
18 want to address, the language that Moolgavkar used in his  
19 letter is a little different than what this language and so  
20 are you subsuming his comments into this proposal?

21           DR. LIPSETT: Okay. Well, Moolgavkar had several  
22 comments. He attended the workshop in July and he actually  
23 was pretty complimentary about the meta-analysis on a  
24 technical basis, although one of his comments that he said  
25 it's true is that the meta-analysis can't correct for any

1 deficiencies in the individual studies.

2 But what we're planning to do here is to address  
3 the other criticisms of Moolgavkar, which is the last one  
4 here, is to just explain more clearly why this meta-analysis  
5 is done and, too, we're updating the calculations. I'm  
6 going to redo a number of them based on some of the comments  
7 or suggestions and see if that makes a difference in the  
8 results.

9 We'll clarify this issue of publication bias and  
10 we'll do this as an additional sensitivity analysis as you  
11 suggested. And then one thing that was raised actually at  
12 the July workshop by one of the other individuals and also  
13 the Moolgavkar, I guess, is suggesting that not -- that one  
14 ought not do with this is to explore the range of risk that  
15 one might be able to identify quantitatively based on  
16 meta-analysis, that is based on the pool risk estimates and  
17 try to reconstruct historically who would have been the  
18 whole range of exposures to which people might have been --  
19 whole range of exposures that people might have had in  
20 different occupations, and then use that as a basis for  
21 quantitative risk assessment.

22 We'd like to try to explore that if it's something  
23 the panel thinks would be an appropriate thing to do.

24 CHAIRMAN FROINES: I think that means that you  
25 have to come up with some estimates of risk that bracket

1 some range of exposures that occur.

2 DR. LIPSETT: Yes. For instance, when you are  
3 looking at several of the occupational groups, we get some  
4 of the pooled estimates that are homogeneous, and we could  
5 look at whatever industrial hygiene data exists that we  
6 historically can construct or estimate what might have been  
7 the whole range of exposures that people might have  
8 experienced, and from that to try and generate a potential  
9 range of risk.

10 CHAIRMAN FROINES: Doing that is not contradictory  
11 to this comment. That's not a question. That's a  
12 statement.

13 DR. LIPSETT: That's a statement.

14 DR. GLANTZ: It's not a question of what?

15 CHAIRMAN FROINES: To attempt to bracket range of  
16 exposures is not really contradictory to his basically  
17 correct notion that no dose information, meta-analysis  
18 therefore would be inappropriate. They are two different  
19 exercises that they're talking doing.

20 DR. GLANTZ: I think, Michael, if you can figure  
21 out a reasonable way to do what he's suggesting, I think  
22 it's a good suggestion.

23 DR. LIPSETT: That's it.

24 CHAIRMAN FROINES: So we basically will, unless I  
25 hear any opposition, agree with what they've proposed and

1 with the added sensitivity analysis.

2 I want to make sure that the panel -- that the  
3 staff comes away not just having given presentations and  
4 then silence from us, but comes away realizing, knowing what  
5 we think they should be doing. And I think we've been clear  
6 up to now. In fact, we've been so clear that this may take  
7 ten years.

8 DR. FRIEDMAN: I just want to mention that I have  
9 had some discussions with Michael, because of my concern  
10 about the possible inadequate controls for smoking in some  
11 of these studies, and I think I've been persuaded by various  
12 literature that I've seen that smoking has been controlled  
13 for pretty well.

14 However, I still think that the definitive way to  
15 look at an exposure with complete control for smoking is to  
16 just focus on people who have never smoked, and this has not  
17 been done in the literature and I would hope that somebody  
18 might do this, because I think that gets rid of the question  
19 of any potential confounding that still exists, so-called  
20 residual confounding. I hope that such an analysis could be  
21 done for those studies which have identified they were never  
22 smokers.

23 DR. ALEXEEFF: I believe the -- this is George  
24 Alexeeff again, for the record.

25 The next issue that we want to talk about is the

1 comments submitted that the rat lung tumor data --

2 CHAIRMAN FROINES: George, before you start on  
3 that, I want to -- I don't know what the proper procedure --  
4 I have two papers here both from Dale Hattis to US EPA on  
5 the issue of the Mauderly data in which Dale has raised  
6 some, I think, significant questions about the analysis that  
7 Mauderly has done. And so these need to go into your record  
8 in some fashion.

9 DR. ALEXEEFF: Okay.

10 CHAIRMAN FROINES: I don't know how to do that.

11 DR. KENNEDY: Hand it to him.

12 CHAIRMAN FROINES: I think that these should then  
13 be circulated to the panel, because they represent  
14 important -- some people, some scientists besides Mauderly  
15 looking at that data set and coming to somewhat different  
16 conclusions.

17 DR. ALEXEEFF: Okay. I don't know if we've seen  
18 that. I don't recall seeing that information. I'll be  
19 happy to look at it.

20 Okay. And that leads us to our next slide here.

21 The primary key comment is that the rat lung tumor  
22 data should not be used to generate quantitative estimates  
23 of human lung cancer risk from environmental exposures.

24 And this is a list of the individuals that have  
25 made this comment. And I think it's an important comment,

1 and particularly since Dr. Mauderly himself, whose study  
2 they are using in this cancer risk assessment, is making  
3 this comment.

4           So what I'd like to do is I was thinking of  
5 actually skipping over the background of what we did in our  
6 document. We told you we did the risk assessment, and now  
7 we have our comments saying we shouldn't, so I'll just skip  
8 that and go to what are the issues that are being raised by  
9 the commentators that we see that relate to this.

10           And I will be -- most of these are issues that  
11 were specifically stated by Dr. Mauderly, because I think he  
12 has made the most carefully-stated points.

13           Okay. First of all, keep in mind that the mouse  
14 and the hamster do not respond to diesel exhaust with the  
15 hyperplasia and do not develop the alveolar tumors that have  
16 been found in the rat studies.

17           Second of all, that meaningful increases in lung  
18 tumors in diesel soot exposed rats only occur at exposure  
19 rates overwhelming particle clearance defenses in inducing a  
20 strong, prolonged and progressive inflammatory and cell  
21 proliferative response.

22           I might mention that 2.5 milligrams per cubic  
23 meter is kind of a cut point.

24           The next one is there appears to be a threshold  
25 exposure rate for triggering progressive lung disease in

1 rats.

2 Now here's a couple of figures submitted by  
3 Dr. Mauderly. The first one is his own, and I assume the  
4 lettering is his own. What he's showing in this graph here,  
5 these are from a couple different studies, diesel exhaust  
6 results and whether or not this is exposed control lung  
7 tumor incidents and here's a measure of concentration,  
8 weekly soot concentration times time, and you can see that  
9 over here there's an increase sort, there's one up here, in  
10 tumor response, but that over here there are exposures that  
11 occur but no increase in lung tumors.

12 Related to that is this body of information which  
13 is just summarized partially here by Dr. Heinrich, where  
14 here again we have rats with tumors, cumulative exposure,  
15 since this is measure, but cumulative exposure, and we have  
16 diesel soot plotted here, again with no response here, but  
17 that's a zero. That's the control. But then we have two  
18 non-genotoxic agents that seem to fit along this line here  
19 of dose response, even though they're not genotoxic, but  
20 they fit along the lines in terms of particulate cumulative  
21 exposure.

22 This provides the support, which I think I  
23 mentioned, is that an inert substance may be causing the  
24 effect by a particle-induced mechanism, and not a genotoxic  
25 mechanism in the rat.

1 DR. GLANTZ: George, can you put those up? That  
2 may be true, but if you look at those two graphs, has  
3 anybody actually fit the line through it and seen if the  
4 zero is excluded from the 95 percent confidence interval,  
5 the intercept, but I bet you it isn't. I mean --

6 DR. ALEXEEFF: Stan, are you able to answer that  
7 question?

8 We haven't done that.

9 DR. GLANTZ: I mean, just put your pointer over  
10 the line and just see where the line goes, just take those  
11 points and they're pretty linear. There's a very good  
12 chance that it goes right to zero zero. I mean there's some  
13 variance about the line, because they're sampling the same  
14 thing in both of them, so I mean I think basically what  
15 you're getting down to in the top one is just that one  
16 point. I think is it 3 or 8 or 5, I can't -- the first  
17 point, and basically in the second one the second point. So  
18 you're making pretty strong conclusions based on one data  
19 point, basically.

20 CHAIRMAN FROINES: How many animals were in the  
21 study in the Heinrich study?

22 DR. ALEXEEFF: Heinrich study, is there 100 or  
23 200?

24 DR. BUDROE: I think it's roughly 50 per group for  
25 one of those experiments. Those are a number of different

1 experiments on that graph.

2 DR. GLANTZ: Yeah. But even so, if you just draw  
3 the line there's a real good chance it goes, both of them go  
4 through zero, if you put a little bit of random error into  
5 it, which every study has.

6 I mean, it would be easy enough to just compute  
7 and see if the 95 percent confidence intervals for the  
8 intercept includes zero or not.

9 CHAIRMAN FROINES: That's the same problem --  
10 these are the same pictures they showed at the workshop.

11 DR. GLANTZ: Yeah. I had the same reaction at the  
12 workshop.

13 DR. ALEXEEFF: In the Heinrich study, okay, these  
14 are actually fairly large study groups, 100 in the high  
15 exposure, 200 in the next high and then 200, roughly 198 and  
16 217, so it's about -- it's larger than the general usual --

17 DR. GLANTZ: Right. But what I'm saying is just  
18 draw a straight line through those points.

19 DR. ALEXEEFF: I understand your point. I'm  
20 just --

21 DR. GLANTZ: You can say it's suggestive, but I  
22 bet you if you went through and did the analysis it's  
23 equally likely to just be sampling variation, draw a line  
24 that goes through zero.

25 DR. ALEXEEFF: Let me continue on with what their

1 points were.

2 In fact these are the comments that are made at  
3 the workshop they've submitted in writing.

4 CHAIRMAN FROINES: That has significance. There  
5 are two possible mechanisms. When you draw it the way  
6 they've drawn it, you are, by definition, assuming the  
7 correctness of one mechanism, and by not addressing the  
8 second, what the second mechanism would appear in the low  
9 dose region, you've essentially argued your case with your  
10 own data.

11 DR. ALEXEEFF: I think, Dr. Dawson, Stan -- I  
12 think we tried to model this, didn't we, look at  
13 extrapolation, and we're not able to separate.

14 DR. DAWSON: I wasn't involved in that.

15 DR. ALEXEEFF: Okay. Well, somebody can check to  
16 see if we tried to do low dose modeling and see what the  
17 result is.

18 DR. GLANTZ: Maybe there is --

19 DR. ALEXEEFF: Identified thresholds  
20 statistically --

21 DR. GLANTZ: Maybe they're right, but I mean just  
22 using the eyeball technique, I don't find those graphs a  
23 compelling evidence for a threshold. Maybe there is a  
24 threshold.

25 CHAIRMAN FROINES: But it's like in these

1 discussions we have, we start out the discussion by saying  
2 all the chemicals that you find in diesel exhaust, and you  
3 say there's arsenic and there is butadiene and there's  
4 4-amino biphenyl, there's nitro PAHs and there's regular  
5 PAHs and on and on and on, and so we've got a hundred  
6 chemicals that are all carcinogenic and then we say  
7 irrelevant, forget it, let's go on with the threshold model.

8           You know, you can't have it both ways. Somebody  
9 also has to argue why the existence of butadiene in  
10 somebody's lungs is irrelevant, when we know that it causes  
11 cancer in humans.

12           So that how one approaches this, I rather think  
13 there are multiple mechanisms going on, which isn't to  
14 suggest that Mauderly's work is wrong, but there are many  
15 more going on than we're -- it's more complicated than we're  
16 treating it, and all ravens aren't black.

17           DR. ALEXEEFF: Just I think to make Dr. Mauderly's  
18 point, I think he finds that histological observations in  
19 the alveolar air space in terms of hyperplasia and  
20 accumulation, are occurring at the area where we see those  
21 black squares, as opposed to the other areas. So that's  
22 just another piece of evidence.

23           The other point is that that's being made is that  
24 the apparent threshold which is alluded to in the previous  
25 graph is two orders of magnitude above the environmental

1 exposure rates, and that at the same time, though, although  
2 there may be this threshold or there is this proposed  
3 threshold in rats, it's important -- it has been shown that  
4 also that the soot associated with mutagens are not  
5 important in the rat lung tumor response. So that's the  
6 information of the non-mutagenic materials that are also  
7 shown in this tumor response in about the similar kind of  
8 rate.

9 But even so, this response seems to be particular  
10 to the rats, if it's occurring -- it appears to be  
11 particular to rats, so it doesn't eliminate the role of  
12 organics in other species, including humans.

13 Now, there's a little information on chronic  
14 exposure of nonhuman variety of primates, and this is a new  
15 paper that was actually presented to us at the workshop.

16 And what this paper is showing is that chronic  
17 exposure of nonhuman primates to diesel exhaust does not  
18 induce the self-proliferative response that's found in rats.  
19 That's not to say there isn't any response. It's not the  
20 same kind of response as found in rats, particularly in the  
21 data with cynomologous monkeys and rats who were exposed for  
22 two years at two milligrams a cubic meter, they showed  
23 differences in their interpulmonary retention patterns and  
24 tissue responses.

25 So with the monkeys, they may have retained

1 slightly more particulate in their lungs in total, but they  
2 retained it proportionately in the interstitium, in contrast  
3 to the rats, who retained it in the alveoli.

4 And as a result the monkeys exhibit less alveolar  
5 inflammation, less fibrosis and less hyperplasia, which  
6 seems to be the prerequisite in the continuity of the other  
7 mechanistic approach where you have inflammation, fibrosis,  
8 hyperplasia and then tumors in the rats. This doesn't seem  
9 to occur in the monkeys.

10 Another bit of evidence supplied is that in coal  
11 miner pneumoconiosis it's not known to increase the risk  
12 of lung cancer for those persons.

13 DR. SEIBER: Are we to -- I'm assuming you're  
14 saying, or someone is saying, that the human would be more  
15 like the monkey than the rat, and the rat may be unique in  
16 some respect, because after all the hamster also showed no  
17 response.

18 DR. ALEXEEFF: That is the argument being made,  
19 and the mouse as well. The mouse didn't show it. So the  
20 rat is showing a different kind of response than either  
21 other rodents -- in fact the point that's made in the next  
22 slide is that in comparing rats to mice, you think rodents  
23 would be rather similar, the response to not just diesel  
24 soot, but a number of particulate matter types of  
25 carcinogens is inconsistent or not -- they're not in

1 concordance, so you can see that for asbestos, beryllium,  
2 cadmium, that you can have this lung tumor response in the  
3 rats where you can show it, but in the mouse where studies  
4 have been conducted you're unable to find a similar kind of  
5 response.

6 DR. KENNEDY: Excuse me. Once again, I may be a  
7 bit out of place, but anatomically and histologically the  
8 tumors that we see in man that correlate with what I've read  
9 in rat is bronchial, alveolar or an adenocarcinoma that  
10 occurs peripherally and usually occurs in an area of prior  
11 chronic inflammation, it's called a scar cancer, it is seen  
12 as the only type of cancer which is not strongly associated  
13 with smoking, at least in women, and may well develop from a  
14 different mechanism from the tobacco-related lung cancer  
15 that we've seen evidently.

16 DR. GLANTZ: Not being an oncologist, would you go  
17 the last step and explain how that relates to the points  
18 he's making here?

19 DR. KENNEDY: I can't do it with asbestos because  
20 asbestos raises some very different important issues.  
21 Specifically asbestos in the absence of smoking gives you  
22 mesotheliomas and not much else. In smokers it gives you  
23 cancer everywhere, including the lung.

24 Many of these other, berylliosis, is again chronic  
25 fibrotic process that can be associated with scar cancer.

1           Again, I think all of this suggests that the  
2 mechanism of the cancer in carcinogenesis in the rat in this  
3 model, which need not invoke the mutagenic capabilities of  
4 hydrocarbons and other components of the vapor phase and  
5 non-particulate aspects, may I think suggests at least the  
6 real possibility of two mechanisms, and also may be an  
7 indication of why you don't see lots of cancer in these  
8 patients.

9           And at the same time may suggest that the smaller  
10 particles ultimately may be more dangerous, as you suggested  
11 this morning. We may be just beginning to start to see the  
12 effect of these particles as a carcinogenic compound as we  
13 get better and better at making micro exhaust particles that  
14 get further out in the periphery of the lung.

15           CHAIRMAN FROINES: We've done work over the years  
16 in which we take a compound and it reacts to form DNA  
17 adducts and presumably would proceed on to produce  
18 mutations, but it doesn't do so unless there's cellular  
19 toxicity. In other words, you have to start killing cells  
20 in the liver before it causes cancer, which is I think a  
21 little bit somewhat similar to this.

22           The interesting thing, though, is what we're  
23 finding is that if you -- if you take other compounds that  
24 also form DNA adducts and you have these compounds with  
25 cellular toxicity, that they start producing cancers as

1 well. So the issue actually may be a lot more complicated  
2 than we're thinking about it.

3 DR. KENNEDY: I'm sure it is.

4 And I think if part of the problem of coming up  
5 with an animal model, I mean, we've all worked with animal  
6 models, it becomes -- by the time you've got something you  
7 can work with and control consistently, you know, wittingly  
8 or not you've eliminated umpty-ump variables that you may  
9 not even recognize as existing and this may be again a bias  
10 that of investigation that we simply don't recognize.

11 CHAIRMAN FROINES: I think there are complicated  
12 mechanisms going on here. I'm not trying to suggest there  
13 aren't. I think Mauderly's work is right to a degree, but  
14 I'm not sure it's a sufficient mechanistic explanation.

15 DR. SEIBER: I think I'm kind of afraid that I'm  
16 running out of gas, I don't know about the other panelists.

17 But on the rat studies I have kind of a  
18 fundamental question when I look at the data in the back of  
19 the reports, page 63 and so forth, where you summarized all  
20 the -- it appears there's inconsistency in the results or in  
21 the rat studies that are cited in the table. Some showed no  
22 association, others, like Mauderly's, showed at the very  
23 high dose levels.

24 DR. ALEXEEFF: Right.

25 DR. SEIBER: Can you comment on the lack of

1 consistency or is it simply because they're all looking at  
2 different levels and maybe some didn't dose high enough to  
3 get the term or whatever.

4 DR. ALEXEEFF: One of the things that probably led  
5 Dr. Mauderly to this whole series of investigations is that  
6 in the diesel study generally what -- let me go back.

7 The general cancer studies they would dose the  
8 animals and then the animals would be examined after two  
9 years. And this -- and that's 24 months, and that would  
10 be -- that's the generally-accepted process.

11 In this case if one does that kind of a study, you  
12 generally find no tumors.

13 So it's the fact that they hold the animals to 30  
14 months, which is still within their life span, but not  
15 within previous protocol, where they find the tumors.

16 So one issue is that it does requires for these  
17 rats to develop lung tumors at very prolonged, as well as  
18 high level of exposure.

19 So inconsistency could be the length of the  
20 exposure or maybe if one looks at the cumulative dose in  
21 terms of hours per day, as well as total dose, that maybe  
22 it's not sufficient.

23 You notice the graph that I showed with  
24 Dr. Mauderly's thing was this cumulative dose total and  
25 basically weekly -- last weekly set by time, so there's some

1 accumulation over the week, but these were, I think, were  
2 restrained, probably restricted to the longer studies where  
3 he's finding these results. He's not -- he's looking at  
4 just the long studies. And the same time in our analysis we  
5 try to take that into account by looking at this  
6 accumulation of soot of the total.

7 CHAIRMAN FROINES: George, what's your -- I think  
8 I'm getting tired too. What is your intent at this point in  
9 terms of --

10 DR. ALEXEEFF: Well,

11 CHAIRMAN FROINES: How do you want to handle the  
12 draft data, or what is to be done or however want to phrase  
13 it?

14 DR. ALEXEEFF: I think we want to incorporate the  
15 new information that was submitted to us by Dr. Mauderly in  
16 our revisions.

17 And the options, there's basically three options.

18 And Dr. Mauderly is really adamant about not  
19 making calculations. I'd like to leave it as an option.

20 But the other possibility is to make calculations,  
21 but do not use them in the negative risks.

22 The third is do as we have done, which is  
23 basically leave the calculations in the range of risk, but  
24 provide a lot of discussion about the uncertainties.

25 Our preferred option is to do -- not use the

1 calculations in the range of risk if there are human  
2 calculations that we can use. So our -- and that's actually  
3 what we always prefer to use, the human information, if  
4 possible. There's always the extrapolation effect. I think  
5 Dr. Mauderly has shown that there's some additional  
6 considerations that make the extrapolation from the rat to  
7 man uncertain, in this case.

8           So if human information -- if one could come to a  
9 conclusion for human -- that there is some human information  
10 that's useful in the quantitation, I think that's preferred  
11 over the rat data.

12           CHAIRMAN FROINES: Well, I think you have to make  
13 a decision based on what makes mechanistic sense, rather  
14 than take -- the epi has to stand on its own, so does the  
15 animal somewhat.

16           DR. ALEXEEFF: Well --

17           DR. KENNEDY: I mean the exposure differences in  
18 the animals are -- it's irrefutable. You're absolutely out  
19 of the league of ambient exposure range when you're talking  
20 about these animal experiments.

21           And at least to me, again, and I may be as a  
22 neophyte I may be completely out of the ballpark, but those  
23 criticisms seem very hard to ignore.

24           And on the other hand, again, I don't want to get  
25 back to issues of policy, I think that the Z factor, the

1 other mechanism, if you will, whatever else is at work here,  
2 is what we don't have a handle on. Ultimately, hopefully,  
3 where the risk range and the calculation will come from. It  
4 seems really implausible to be able to do it with the animal  
5 information understanding what we do about carcinogenesis in  
6 most other lung tumors in the human system.

7 DR. ALEXEEFF: I think one point, it's easy for us  
8 to say we'll use some human study out there instead of the  
9 animal data, and then don't worry about it, but I think that  
10 the table slide actually is an important point that I think  
11 ultimately the handles we're going to have to deal with  
12 another substance, but because what Dr. Mauderly's work is  
13 suggesting is that the rat lung tumor model in general is  
14 inappropriate for human cancer risk assessment, not just for  
15 diesel exhaust, but for all chemicals.

16 DR. KENNEDY: Absolutely.

17 DR. ALEXEEFF: All chemicals, because it's a  
18 particular particle thing.

19 But first of all I don't think it's appropriate  
20 for us, on the basis of just looking at this data, to now  
21 all of a sudden exclude rat lung tumor data for all  
22 chemicals. But at the same time I think we need to look at  
23 this issue of across all chemicals, so that we can make a  
24 very careful decision to see if we agree that this has  
25 occurred.

1           I think his point, and I've asked him, and he  
2 actually said it is his point, that this issue of the rat  
3 lung tumor data is for all rat lung tumor carcinogens and  
4 not just diesel exhaust.

5           DR. BYUS: George, I have a comment related to  
6 what Dr. Glantz said this morning about challenging the  
7 overall assumptions, that we have to -- we can't go back to  
8 ground zero. I mean, John, Dr. Froines also mentioned, we  
9 don't lose track of the fact that these particles have  
10 carcinogens all over them that we know are very potent human  
11 carcinogens and it just doesn't have one, it's 40, 50, 60 of  
12 them.

13           In fact, based on both the chemical causing  
14 mutation in addition to this sort of, I think more of a  
15 promotional proliferation response caused by the presence of  
16 a particle, and they both could give you an additive or  
17 synergistic thing.

18           But at these low levels, if you were to take, just  
19 calculate -- we were talking about this briefly this  
20 morning, if you were to try and figure out how much  
21 carcinogen is really there, how many animals would you  
22 really need to see an effect? I mean, 100 animals is not an  
23 effect, not a large number. I mean, you can have a five  
24 percent increase in cancer incidents, five out of a hundred  
25 of those animals could be getting an excess cancer. You

1 wouldn't see in the animal model, but in the human situation  
2 it would be a huge cancer risk.

3           So really the power of the lower dose levels to  
4 detect small increases in cancer, even lung cancer, are very  
5 low. So, I mean, and that's why you have to go to the  
6 higher doses so that you can extrapolate back.

7           DR. SEIBER: That's why we're doing a thousand  
8 times higher.

9           DR. BYUS: That's right. But when you go up a  
10 thousandfold, what happens in this thing, when you go to  
11 thousandfold, you really have a different entity there.  
12 It's not just now the chemical, it's the chemical plus the  
13 particle response is what it seems like to me.

14           But just because you get the particle response,  
15 doesn't diminish the fact that the chemicals are there at  
16 the lower doses and in these animal experiments you just  
17 can't measure the effect, because there isn't enough there.

18           CHAIRMAN FROINES: Well, it seems to me that there  
19 are sort of two issues, one of which is to say that Joe  
20 Mauderly does very good work and appreciate that, and he's a  
21 thoughtful person, and his data is indicative of one  
22 mechanistic approach.

23           Then you have another reality which says there are  
24 these chemicals in diesel exhaust that we know by themselves  
25 cause cancers in humans. That's also true.

1           And so we have to be careful not to throw the baby  
2   out with the bathwater, so to speak. We need an explanation  
3   at some level why butadiene or arsenic or nitro PAHs don't  
4   cause cancer, as well as saying that there's a particle  
5   overload that does. Because if we don't deal with both of  
6   them, then in a sense we are -- we're not doing science,  
7   we're doing advocacy, we're taking the position that seems  
8   to be the most relevant, and I think you have to be careful  
9   about it.

10           DR. KENNEDY: I would propose that you can say it  
11   exactly the opposite. You can say that thank God for diesel  
12   particles, because they prevent these adducts from getting  
13   too far into the lung such that they are producing -- their  
14   exposure, your exposure rate gets too high.

15           I mean, I think that the truth is to where we're  
16   talking about the hydrocarbons and diesel and it's nasty  
17   stuff and they are terrible molecules, but it's extremely  
18   difficult to show, has to this point, been extremely  
19   difficult to show their role in carcinogenesis in this  
20   system, either whether it be animal or human.

21           You can't say that about the hydrocarbons in  
22   tobacco. They bite you on the nose.

23           CHAIRMAN FROINES: But I think that's why I asked  
24   George and his staff to go through the entire data, because  
25   there is evidence to indicate that these compounds are

1 bioavailable.

2           Once you know that, then you do have to address  
3 the question of their carcinogenicity, because if they were  
4 just -- if this was a plastic matrix and these particles  
5 were captured in that plastic and they went into a lung, and  
6 they went out the lymph system and they went out and were  
7 completely cleared, then I would agree with you. I think  
8 that happens, for example, in chromium spray paints.

9           DR. KENNEDY: I'm not saying -- I think the  
10 bioavailability data are critically important. They've been  
11 raised as part of the criticism of this.

12           In fact, they should -- you should find them in  
13 the secretions or you can find them in the lymphatic system,  
14 terrific, because that raises certainly my conviction that  
15 this is bad stuff to a higher level.

16           I haven't been able -- I haven't seen that.

17           CHAIRMAN FROINES: That's in the document.

18           DR. SEIBER: I agree with the commenter or what I  
19 understood of the comment, and that is we all suspect, we  
20 know there are chemicals in diesel, they're in a big table  
21 in the back of the reports, and really nasty ones, and  
22 therefore we think there's a problem.

23           But unfortunately the data that we're presented  
24 with, such as this rat study, doesn't address that.

25           And so you can suspect that's the case, and I tend

1 to share it, but you've got to have some data upon which to  
2 base that. And that's why we went back and asked about  
3 summing up individual chemicals. We know they're there. We  
4 need that data. We just don't have that.

5 DR. BYUS: What I'm saying --

6 DR. SEIBER: In the system --

7 DR. BYUS: The low doses, the reason you don't  
8 see -- there's a difference between there not being an  
9 effect and not being able to measure the effect. It's two  
10 entirely different things. We're not being able to measure  
11 the effect because you don't have enough animals to see it.

12 DR. KENNEDY: No dispute. It is not incumbent  
13 upon us to somehow find or help to have generated the data  
14 that will demonstrate --

15 DR. BYUS: In a sense that's true, but for dose  
16 extrapolation --

17 DR. KENNEDY: I'm not talking dose threshold at  
18 this point. I'm simply saying --

19 DR. BYUS: That's Mauderly's point. Mauderly's  
20 point is that -- I didn't go to the workshop -- but if you  
21 read the transcript, I mean, he says his data essentially is  
22 definitive, that there is a threshold. And that is not  
23 true. I would take total issue with that.

24 In order to make that statement, you would have to  
25 do probably an animal study of tens of thousands of animals

1 in order to make that statement. And that's been done, the  
2 mega-mouse study used hundreds of thousands of animals.

3 DR. KENNEDY: For the mechanism that he proposes.

4 DR. BYUS: That's right.

5 DR. KENNEDY: He's correct.

6 CHAIRMAN FROINES: Well, I think that the key  
7 question that was raised at the workshop by Paul Blanc was  
8 that the -- if one agrees that the rat toxicologic data is  
9 not useful for risk assessment purposes, if one buys Joe  
10 Mauderly's point of view, he is not saying that that applies  
11 to humans, nor should he.

12 DR. KENNEDY: Neither are we.

13 CHAIRMAN FROINES: He's saying -- I think it's an  
14 important point.

15 DR. KENNEDY: Absolutely right.

16 CHAIRMAN FROINES: That the rat is irrelevant to  
17 the human.

18 DR. KENNEDY: You bet.

19 CHAIRMAN FROINES: Therefore, you can't turn  
20 around and argue thresholds and whatever for on humans.

21 DR. KENNEDY: Without question.

22 CHAIRMAN FROINES: Let me just read something here  
23 that I think is sort of interesting. He says, this is a  
24 fellow from Boston University, he argues however a single  
25 carcinogen, particularly one as complex as diesel exhaust,

1 may very well exert multiple effects and operate via several  
2 mechanisms. The following hybrid formulation may be closer  
3 to the truth than either of the two competing models, that  
4 is the overload and the genotoxic. The induction of cancer  
5 by diesel exhaust is not rate limited by self-proliferation,  
6 but is a function of PAH metabolism, adduct formation,  
7 inflammation, and lung cell proliferation.

8           And, finally, human epidemiologic evidence  
9 indicates a much higher incidence of lung cancer among  
10 diesel exposed railroad workers than accounted for on the  
11 basis of particles alone.

12           So that I would argue that probably what we're  
13 dealing with here with the animals is a lot of uncertainty,  
14 but we're probably dealing with a more complex situation  
15 than either simple model really can address.

16           And what it means is that we have to do a lot more  
17 research in this area to clarify this, and it may mean that  
18 one can't use the animal data for risk assessment purposes.

19           DR. KENNEDY: I think that's the truth.

20           DR. GLANTZ: Well, if I can comment here.

21           I'd rather not get into whether you can or can't  
22 use the rat data for risk assessment as a general principle,  
23 but my reaction in reading the report was why bother. I  
24 mean, I agree with the position that George is recommending.  
25 I think that the rat data is interesting, because it tends

1 to -- it's supportive evidence for the carcinogenicity, but  
2 to me to go through all of these interspecies extrapolations  
3 and worrying about the particulate loading and all that  
4 other stuff, when you've got human epidemiology to base the  
5 number on, which is actually a higher number, based on the  
6 human epidemiology, why even include the risk assessment  
7 based on the rats? I think it just confuses matters,  
8 frankly.

9 DR. KENNEDY: Because the epidemiologic data gives  
10 you association, it doesn't give you causality.

11 DR. GLANTZ: Right. Right. But what I would do  
12 with the rat -- no, I'm not saying they should throw the rat  
13 data out of the report. I think you want to keep the  
14 discussion of the rat studies, because it supports  
15 causation.

16 What I would take out is the quantitative risk  
17 assessment based on the rat.

18 DR. KENNEDY: Absolutely. I agree.

19 DR. GLANTZ: Whether the rat is a good or bad  
20 model for human risk assessment is a whole other argument.  
21 I think in the case of this document, you simply don't need  
22 it because you've got direct human evidence, so why embroil  
23 yourself in that controversy?

24 But I think you should keep the rat information in  
25 there to go to the question of the causation.

1           I would just take it out of the chapter on risk  
2   assessment, because of all the reasons that are being  
3   discussed here. I mean, I think you're asking -- it's  
4   adding complexity and uncertainties that aren't necessary.

5           DR. ALEXEEFF: Can I ask a clarification?

6           DR. GLANTZ: Yes, sir.

7           DR. ALEXEEFF: So on this scale that I had here,  
8   does that mean do not do the calculations?

9           DR. KENNEDY: A, B, C or D.

10          DR. ALEXEEFF: Do not use them? I'm just -- I  
11   want to make sure I understand.

12          DR. GLANTZ: I don't think they add anything. I  
13   just think it confuses matters.

14          I would use the rat data. I would use the rat  
15   data as evidence of causation and leave it at that.

16          And I would have a good discussion of a lot of  
17   these issues that we've talked about, which in fact the  
18   document already has, and just take the stuff from the  
19   quantitative risk assessment about rats out. I don't think  
20   it adds anything and it confuses matter.

21          DR. KENNEDY: I would certainly support that.

22          I can't agree with everything that's been said,  
23   but I think your document is much stronger without the  
24   attempt to establish association, which is very obviously --  
25   I mean, by your own admission is weak. Just demonstrate it

1 for what it is and go on with it. I think that it's a  
2 better paper and it's closer to the truth.

3 DR. GLANTZ: Yeah. So, see, George, everything  
4 else you've done today has made more work for you, but we  
5 just eliminated one thing.

6 DR. KENNEDY: Which you had already done.

7 CHAIRMAN FROINES: I just --

8 DR. BYUS: I sort of go --

9 CHAIRMAN FROINES: I sort of agree with doing the  
10 calculations, but not using them.

11 DR. BYUS: That's what I think.

12 There is the outside chance --

13 DR. ALEXEEFF: How does one decide that --

14 DR. SEIBER: There's no problem with doing the  
15 calculations, as long as you make it clear what they can and  
16 can't be used for. I think that's almost --

17 CHAIRMAN FROINES: Because if all of a sudden, you  
18 know, we go out and do the seminal experiment and find that  
19 Mauderly was wrong, which clearly is not going to happen so  
20 easily, but I mean the point being that it's worth having  
21 looked at the issue, but not use them. And give reasons why  
22 you're not using them.

23 DR. GLANTZ: Put in it the appendix in small type.

24 DR. ALEXEEFF: Okay.

25 CHAIRMAN FROINES: But I think the important thing

1 is not whether you do calculations or not. The important  
2 thing is the reasons you give for what you do. That's the  
3 key issue.

4 I mean, because other people are going to read  
5 this. And OSHA is going to read it, EPA is going to read  
6 it. So other people in other agencies are going to want to  
7 know what is the position of the State of California on this  
8 issue and so that means that you should have it done  
9 relatively completely and then address the uncertainties in  
10 the way that you think is, you and then we, think is most  
11 appropriate.

12 DR. SEIBER: I have to leave. If I stay a few  
13 more minutes I'm going to be here all night, because I have  
14 to get to Pleasanton.

15 CHAIRMAN FROINES: I'm hoping that your move will  
16 create a groundswell and that we can all leave.

17 And my question is, George, Genevieve, panel, does  
18 anybody want to pursue --

19 DR. GLANTZ: I have one other thing and that's the  
20 issue of the schedule.

21 CHAIRMAN FROINES: I think that is what Genevieve  
22 coming to do.

23 I want to say, though, I think this is one of the  
24 better -- one of the best discussions we've had on the  
25 science of these issues and even though we didn't cover all

1 the ground I think that we -- it was a very positive, open  
2 discussion and hopefully will help resolve these issues as  
3 we move along.

4 And the final thing I want to say, it's clear that  
5 the decision of the panel is for the staff of the ARB and  
6 OEHHA to move forward with this document and move forward  
7 towards producing a document that will be considered as --  
8 will be considering diesel exhaust as a toxic air  
9 contaminant. That is the decision we're making.

10 MS. SHIROMA: Okay. Thank you.

11 In terms of the schedule, both George and we have  
12 discussed revisions that we need to make to the report and  
13 that will take a bit of time.

14 We also then need to provide for one more comment  
15 period on those changes, on the revisions that we would make  
16 in the report.

17 So in talking it over with George and Bill, this  
18 is mid October. We will likely need -- Stan, don't  
19 blanch -- a couple months to incorporate all these revisions  
20 and there's a whole list of Part A and Part B and also on  
21 the executive summary. And then to provide a comment  
22 period.

23 So essentially we would be coming back to you with  
24 a revised report formally submitted to you in the early 1998  
25 time frame. That January-February time frame.

1 DR. GLANTZ: Now, when you say come back to us,  
2 does that mean we'd have the meeting in January, which means  
3 the report would go out for public comment in December  
4 sometime?

5 MS. SHIROMA: Yes. That would be the outlook.

6 DR. GLANTZ: I would hope that the report will  
7 come out, go out to public comment in time for us to meet in  
8 January with this as an action item, which means that you  
9 have to have -- Genevieve, wake up.

10 MS. SHIROMA: I'm sorry.

11 DR. GLANTZ: Which means that the report will  
12 probably go out for public comment sometime in the middle  
13 part of December or beginning, middle of December, to give  
14 people adequate time.

15 I think we want to have one last public comment  
16 period, but I don't think we don't want to give people two  
17 days. I think you should give them a reasonable length of  
18 time, but I'm hoping we can see the thing come to us as a  
19 action item in January.

20 DR. ALEXEEFF: I think --

21 DR. GLANTZ: There's not that much left to do.

22 DR. ALEXEEFF: In terms of the workload, I think  
23 in terms of the rat data I think that's fairly  
24 straightforward as to what we are trying -- we're winding  
25 down on that one.

1           But I think in terms of the cohort, there are  
2   still a number of issues resolved -- unresolved, and I don't  
3   know if what's more important to work towards their  
4   resolution. I mean, it may not be a resolution of some of  
5   those issues. It may just be various choices of  
6   assumptions.

7           But so one question is working towards that  
8   resolution could take longer or maybe at some point some  
9   decision has to be made that, well, these are the issues on  
10  both sides of the plain.

11           DR. GLANTZ: I think, George, that you should aim  
12  to have a document out for public comment the first part of  
13  December and it should be the best you can make it.

14           I think in terms of the issues about these epi  
15  studies what we have been talking about, that I think you're  
16  making good progress in either resolving the issues or  
17  outlining them.

18           And I think another -- you're almost to the  
19  saturation point where you're either going to come to a  
20  resolution on some of these things or you're just going to  
21  have to come forward and say here are the controversies, and  
22  the SRP, here's our recommendation, what the SRP should do  
23  about them, and we'll take them or not take them.

24           I think you should be able to get that done to a  
25  reasonably good level in time to get something out by

1 December.

2 CHAIRMAN FROINES: I think that the important  
3 thing, crucial thing, is for George and the ARB to determine  
4 what they think is the best range of risk within the  
5 limitations of the information, describe the uncertainties  
6 associated with that, and then we can move forward.

7 Again, we don't need to have -- we never have had,  
8 if you remember for perchloroethylene, we acknowledged that  
9 there were quite significant uncertainties in the risk  
10 assessments. And it went through and it went through just  
11 fine.

12 We were -- the law doesn't hold us to a standard  
13 of proof that says this is the gold standard and absolute  
14 truth. We understand that in this field of science there  
15 are uncertainties and the point is that George needs to  
16 come in with the best estimate of risk that they can, which  
17 is what is required by the law, and then we need to  
18 proceed.

19 DR. GLANTZ: I think we would hope that we be able  
20 to meet in January, which means that the report would be out  
21 in December, before Christmas, or Hanukkah.

22 CHAIRMAN FROINES: I think the key -- this is the  
23 most important chemical we have dealt with. If we did it in  
24 January, that would be good. If we do it in February, that  
25 would be fine. And much beyond that, I think we'd be

1 unhappy.

2 But I think we need to do the steps properly to  
3 hear people's points of view properly and to give George --  
4 he's got quite a bit of work now because both Paul and I are  
5 interested in more discussion on the non-respiratory -- on  
6 the nonmalignant, non-cancer effects, so that there's  
7 another dimension.

8 MS. SHIROMA: Okay. Bill, do you want to add  
9 something to this?

10 Bill reminded me that by that January-February  
11 time frame we expect to be able to provide you with a  
12 briefing on the CE CERT data results also. Okay.

13 So we'll work on that schedule and get the report  
14 back out in that early December time frame, provide at least  
15 a 30-day comment period and come back to the panel.

16 DR. GLANTZ: I would say just -- you said at least  
17 a 30-day comment period. I think 30 days is okay. The  
18 typical comment period when the reports have reached this  
19 terminal stage in the past was ten days, if you remember  
20 back. So we're talking about three times what had been  
21 traditionally used. I think I would hate to see it go over  
22 30 days, because that's reasonable -- it's not unreasonable  
23 in lieu of all the complexities, it's not unreasonable to do  
24 30 days. In fact, that's what I had recommend.

25 CHAIRMAN FROINES: Let me make a point.

1           I may be wrong, though, the next three months we  
2   may see new science, because people are doing experiments  
3   around the world, so we may see new science.

4           But we may also see reevaluation of the existing  
5   science and that, I think, one could do within the 30-day  
6   period. I don't think that Kenny Crump is -- may have other  
7   things to do, but I think he's basically -- they're all  
8   using basically the same data sets at this point. Unless  
9   something new comes in. If something new comes in and Joe  
10   Smith in Japan has just finished a major diesel study, of  
11   course things have to change.

12           DR. GLANTZ: But the Nobel prizes were just given  
13   out, so it's unlikely. Next year.

14           I think that -- I mean, this has been going on  
15   almost ten years, and we're getting to the point where I  
16   think the marginal value of new information it seems  
17   we're -- the curve is saturated.

18           MS. SHIROMA: And I'd like to emphasize, we would  
19   ask for comments on the proposed revisions to the report,  
20   because we provided large opportunity to comment on the rest  
21   of the report.

22           DR. GLANTZ: Yes. Here it is.

23           So, yeah, I would also add -- I mean, people can  
24   comment with whatever they want to say. I mean it's --

25           MS. SHIROMA: Certainly.

1 DR. GLANTZ: But the -- but I would urge for the  
2 record the commenters to try to limit their comments to the  
3 new points, because as someone has to read all this stuff  
4 and digest it, the more focused on those issues, the more  
5 useful it will be in terms of getting a good document.

6 CHAIRMAN FROINES: But I want to add one other  
7 thing in terms of commenters, and that is that there are  
8 some people in the scientific community who are at this  
9 point relatively neutral on all the scientific issues in  
10 this thing.

11 And I think that we should consider getting --  
12 seeking comments from some of them, on some of the sticky  
13 issues.

14 For example, I would very much like to have  
15 Duncan Thomas at USC look at the epi data, both with respect  
16 to Garshick and the meta-analysis. I think he would be  
17 good.

18 And I think we should -- we as a panel should be  
19 thinking of other people whose judgment, whose reputations  
20 are unquestionable, and whose neutrality, objectivity is  
21 unquestioned and to see if there's anybody who we could get  
22 to give us more comments.

23 MS. SHIROMA: OEHHHA and we can follow up on that.

24 CHAIRMAN FROINES: Do we want -- and we'll need  
25 suggestions from people on this panel.

1 MS. SHIROMA: Would you like us --

2 DR. GLANTZ: I move we adjourn.

3 CHAIRMAN FROINES: We are trying to make a record  
4 for the next few months.

5 DR. GLANTZ: I'm sorry.

6 CHAIRMAN FROINES: When we're finished, we'll be  
7 really finished.

8 MS. SHIROMA: So other suggestions? We have  
9 Dr. Duncan Thomas from USC.

10 CHAIRMAN FROINES: People have to get names to  
11 you.

12 MS. SHIROMA: Okay. So in fact we'll probably --

13 CHAIRMAN FROINES: We can always ask Hal  
14 Morgenstern and Sandra Greenland at USC, UCLA, to look at  
15 this epi work.

16 DR. FRIEDMAN: Do you want us to do something with  
17 these now?

18 MS. SHIROMA: Bill, calendars?

19 MR. LOCKETT: Yeah. You can do that after we  
20 adjourn. We just need to know your schedule for January,  
21 February and March.

22 CHAIRMAN FROINES: Thank you. We're going to  
23 close the meeting.

24 I appreciate everybody sitting out there, their  
25 patience, because it's been a long day. But I think it's

1    been a very substantive day and I think in that sense we've  
2    hopefully accomplished something.

3                   (Thereupon the meeting was adjourned  
4                   at 3:58 p.m.)

5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

1 CERTIFICATE OF SHORTHAND REPORTER

2

3 I, JANET H. NICOL, a Certified Shorthand Reporter  
4 of the State of California, do hereby certify that I am a  
5 disinterested person herein; that I reported the foregoing  
6 meeting in shorthand writing; that I thereafter caused my  
7 shorthand writing to be transcribed into typewriting.

8 I further certify that I am not of counsel or  
9 attorney for any of the parties to said meeting, or in any  
10 way interested in the outcome of said meeting.

11 IN WITNESS WHEREOF, I have hereunto set my hand  
12 this 25th day of October 1997.

13

14

15

16

17 Janet H. Nicol  
18 Certified Shorthand Reporter  
License Number 9764

19

20

21

22

23

24

25