

MEETING
STATE OF CALIFORNIA
AIR RESOURCES BOARD
SCIENTIFIC REVIEW PANEL

MILTON MARKS CONFERENCE CENTER
AUDITORIUM
ADMINISTRATIVE OFFICE OF THE COURTS
455 GOLDEN GATE AVENUE
SAN FRANCISCO, CALIFORNIA

TUESDAY, MAY 3, 2011

9:45 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

PANEL MEMBERS

John R. Froines, Ph.D., Chairperson

Jesús A. Araujo, M.D., Ph.D.

Paul D. Blanc, M.D.

Alan R. Buckpitt, Ph.D.

Ellen A. Eisen, Sc.D.

Sarjeet S. Gill, Ph.D.

Stanton A. Glantz, Ph.D.

S. Katharine Hammond, Ph.D.

William W. Nazaroff, Ph.D.

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Jim Behrmann, Liaison, Scientific Review Panel

Mr. Peter Mathews, SRP Support Administration

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD
ASSESSMENT:

Dr. Robert Blaisdell, Supervisor, Environmental Modeling
Section

Dr. Daryn Dodge, Staff Toxicologist

Mr. Brian Malig, Staff Toxicologist

Dr. Melanie Marty, Chief, Air Toxicology and Epidemiology
Branch

Dr. Andrew Salmon, Chief, Toxicology and Risk Assessment
Section

INDEX

PAGE

1.	Continuation of the Panel's review of proposed acute, 8-hour, and chronic Reference Exposure Levels for caprolactam(April, 2011)	1
2.	Consideration of proposed acute, 8-hour, and chronic Reference Exposure Levels for nickel and nickel compounds(April, 2011)	111
3.	Consideration of administrative matters.	183
	Adjournment	226
	Reporter's Certificate	227

PROCEEDINGS

1
2 CHAIRPERSON FROINES: Okay. We have a quorum.
3 In fact, everybody on the Panel is here. And so we are
4 going to open the May 3rd Scientific Review Panel meeting.
5 The first person who will be speaking will be Melanie
6 Marty from OEHHA, who will take up caprolactam.

7 OEHHA SUPERVISING TOXICOLOGIST MARTY: Good
8 morning.

9 (Thereupon an overhead presentation was
10 Presented as follows.)

11 OEHHA SUPERVISING TOXICOLOGIST MARTY: So at the
12 last meeting we -- staff went through the caprolactam
13 Reference Exposure Level derivation. We received comments
14 from the lead, Dr. Paul Blanc, and also from Stan Glantz
15 met with them regarding one of the studies and potential
16 statistical analysis of some of those data.

17 I'm going to have Dr. Daryn Dodge, to my left,
18 give the presentation on what we ended up doing, which you
19 all have seen now. And also at the end of that
20 presentation, the Chair asked us to have some slides
21 responding to some of the material that are industry
22 stakeholders sent directly to the Panel. So we have
23 several slides on some of those issues.

24 So, Daryn.

25 OEHHA STAFF TOXICOLOGIST DODGE: Thank you,

1 Melanie.

2 --o0o--

3 OEHHA STAFF TOXICOLOGIST DODGE: For a little
4 review, caprolactam is a monomer used in the manufacture
5 of Nylon-6. Production is, according to US EPA, 1 billion
6 pounds or more in 2006, but it's probably the same in 2010
7 as well. This is the most recent data that they had.

8 Seventy-five percent of Nylon-6 is used in
9 fibers, carpets, rugs, clothing, et cetera. The other 25
10 percent is used in making films, such as films that are
11 used to wrap meat at the supermarket for instance.

12 Emissions can occur from caprolactam production,
13 and the manufacture, use, and recycling of Nylon-6.

14 --o0o--

15 OEHHA STAFF TOXICOLOGIST DODGE: Now this is a
16 list of the major changes we were asked to consider at the
17 last meeting. The major one here at the top is we changed
18 the procedure for rounding REL values. This caused a bit
19 of a discussion last time, and Dr. Nazaroff sent in some
20 comments which we used, and we'll discuss here in a
21 moment.

22 Another change is we have no recommendation for
23 the acute REL now, and I'll go into that as well. We also
24 added some details to the document. One of which was
25 detailed to our review of some studies. Specifically, we

1 included some material for the chronic rat -- or the
2 subchronic rat study, which is used as a basis of the REL
3 for 8-hour and chronic REL. We also added detail from the
4 Ziegler acute study chamber exposure of humans, and the
5 Ferguson and Wheeler occupational study.

6 We added a section on occupational standards as
7 requested. And this includes what information I could
8 find for the derivation they used from NIOSH and the ACGIH
9 and their occupational standards for caprolactam.

10 We added summaries of additional studies to
11 provide a more complete picture. Now, this includes oral
12 studies and dermal sensitization studies, many of which
13 weren't published, but they're in there and they provide a
14 more complete picture of the toxicity of caprolactam.

15 We also added details on caprolactam aerosol
16 particle size information that we could find in the
17 exposure implications.

18 And lastly, we added pathology findings and
19 conclusions regarding upper respiratory irritant effects.

20 --o0o--

21 OEHHA STAFF TOXICOLOGIST DODGE: For fixing the
22 rounding problem that we discussed at the last meeting,
23 let's first go into what we had discussed at the last
24 meeting.

25 The 8-hour and chronic REL values were rounded to

1 one significant figure. And the conversion factor between
2 micrograms per cubic meter and parts per billion is 4.63.
3 But with rounding to one significant figure, we have a
4 seven-fold difference between the micrograms per cubic
5 meter and the parts per billion regarding the -- for the
6 8-hour REL. For the chronic REL, it's a four-fold
7 difference.

8 And these rounding errors are mainly due to --
9 with regard to the 8-hour REL, rounding to a 1 as shown
10 in -- with 1 parts per billion, because this can introduce
11 up to a 50 percent error, because you're not sure whether
12 you're rounding between 0.95 and 1.5 or rounding between
13 0.5 and 1.5.

14 So Dr. Nazaroff kindly sent in some suggestions,
15 which we are using for our proposed REL values.

16 --o0o--

17 OEHHA STAFF TOXICOLOGIST DODGE: So we decided
18 we'd use two significant figures when the first digit is a
19 1 or a 2 to reduce the introduced error from rounding.
20 Using this procedure we now have a five-fold difference
21 between micrograms per cubic meter and parts per billion
22 conversion. And we have a 4.4-fold difference between the
23 micrograms per cubic meter and parts per billion
24 conversion.

25 PANEL MEMBER GLANTZ: I don't understand why

1 there's such a big difference, though, because the parts
2 per million to mass conversion, isn't that pretty
3 precisely known? I'm confused. I don't understand.

4 PANEL MEMBER HAMMOND: Are you confused between 5
5 and 4.4.?

6 PANEL MEMBER GLANTZ: Yeah. I don't understand.

7 PANEL MEMBER HAMMOND: Because of the rounding.

8 --o0o--

9 OEHHA STAFF TOXICOLOGIST DODGE: Well, let's go
10 back and look how we rounded. For the 8-hour REL, we
11 rounded from 6 -- you know, rounded up from 6.7. However,
12 when we converted to parts per billion, we rounded down to
13 from 1.446 --

14 PANEL MEMBER GLANTZ: Wait. I'm sorry. Are you
15 talking about the ratio between the acute and the chronic?

16 OEHHA STAFF TOXICOLOGIST DODGE: Yes, the ratio.
17 I'm sorry.

18 PANEL MEMBER GLANTZ: I'm sorry. I was totally
19 confused. Never mind. No, I think that's fine

20 OEHHA STAFF TOXICOLOGIST DODGE: We have now no
21 acute REL recommendation following our last meeting.
22 Originally, we had a draft REL based on the occupational
23 study by Ferguson and Wheeler. However, with limitations
24 from this study, which we agree with the Panel, there was
25 a number of them we decided it was just not strong enough

1 information to base a REL on.

2 Now, if you recall, this was a study in which 4
3 of 5 workers experienced transient nasal irritation at 10
4 parts per million. They were exposed briefly for a few
5 minutes to an uncontrolled emissions source. Now, there
6 was only 5 participants per concentration. This is a
7 limitation, though not a deal killer. However, the
8 uncontrolled emissions source in fact was, because we
9 don't know how much it was varying. They had no standard
10 deviation to explain what the variation was, though they
11 mention in their paper that there was some variation in
12 the concentration the participants were exposed to.

13 There is only a LOAEL for this study, no NOAEL.
14 And the measurement method used was antiquated. Now, it
15 is a method used in the seventies fairly extensively, but
16 it's -- you know, the accuracy of the measurement methods
17 now are much improved.

18 --o0o--

19 OEHHA STAFF TOXICOLOGIST DODGE: The acute study
20 limitations of the other major acute study by Ziegler, et
21 al., is that when all was said and done all we could
22 establish is that there was a free standing NOAEL in this
23 study. Without a LOAEL, we cannot establish any sorts of
24 REL derivation.

25 In the human chamber study, the participants were

1 exposed to 0, 0.15, 0.5, and 5 milligrams per cubic meter
2 of caprolactam for 6 hours.

3 They looked at both subjective and objective
4 measures. Subjective measures were 29 questions placed in
5 7 subgroups. One of those subgroups was odor, in which
6 there was 4 of the 29 questions. This was the only
7 questions that showed a statistically significant trend.
8 And the other individual questions and subgroups, there
9 was no trend and no statistical difference between, for
10 example, the high exposure group and the control group.

11 The other problem was that symptom questions were
12 not independent. A number of them were asking the same
13 questions -- a number of these questions were asking the
14 same thing in a different way, in other words.

15 There was a total symptom score that was elevated
16 at 5 milligrams per cubic meter, but this appeared to be
17 almost certainly odor driven, because the 4 odor questions
18 were in there. We don't know what -- how significant it
19 would be if you removed the odor questions, because we
20 don't have the individual data here.

21 Now, the objective measures, there were only
22 non-significant trends. The objective measures were eye
23 blink, nasal resistance, and eye redness.

24 --o0o--

25 OEHHA STAFF TOXICOLOGIST DODGE: We were asked

1 to apply a Friedman test to the Ziegler data, and
2 specifically the data that showed a trend. And here this
3 is a ranking procedure, in which you give ranks to each of
4 the concentrations.

5 Now, the main study measures here are the ones
6 that were in the study itself. It's blink frequency,
7 redness -- or eye redness, nasal resistance, and eye and
8 nasal irritation scores. Most of these were median
9 values. And as you can see, there was a pretty good trend
10 for some of these values. Now, when you combine all of
11 this --

12 --o0o--

13 OEHHA STAFF TOXICOLOGIST DODGE: -- you find a
14 significant difference in the ranks by concentration using
15 the Friedman test. There's also the Page trend test we
16 applied, and this also showed a significant difference in
17 the trend.

18 However, the limitations are that the Friedman
19 test is normally applied only to individual data and we
20 were applying it to summary data, the medians in other
21 words. And it ignores the distribution and variance.

22 Now, if we could get a hold of the raw data, we
23 would be more than glad to reevaluate it using this test.

24 --o0o--

25 OEHHA STAFF TOXICOLOGIST DODGE: For the 8-hour

1 and chronic RELs, we used the 13-week rat study by
2 Reinhold, et al. This is a bit of a review. The
3 exposures were 6 hours per day, 7 days per week.
4 Concentrations they were exposed to were 0, 24, 70 and 243
5 milligrams per cubic meter.

6 PANEL MEMBER GLANTZ: You mean 5 days a week,
7 right?

8 OEHHA STAFF TOXICOLOGIST DODGE: Five days.
9 What did I say 7?

10 PANEL MEMBER GLANTZ: You said 7.

11 OEHHA STAFF TOXICOLOGIST DODGE: Sorry.

12 During their second week of exposure and through
13 the rest of the remaining exposure period, there was a
14 treatment-related increase in labored breathing, nasal
15 discharge during exposure. There was also moist rale
16 sounds heard in the animals during exposure.

17 At sacrifice, after 13 weeks, there was a
18 treatment related increase in nasal and laryngeal or
19 laryngeal tissue lesions. From this information, we found
20 that the LOAEL was 24 milligrams per cubic meter. There
21 was no NOAEL.

22 --o0o--

23 CHAIRPERSON FROINES: May I ask you a question?

24 OEHHA STAFF TOXICOLOGIST DODGE: Yes.

25 CHAIRPERSON FROINES: I had considerable

1 difficulty with this aspect of the discussion -- of what
2 you concluded. Maybe I'll wait till we hear you finish.
3 But I'm looking at table 5, and I have considerable
4 difficulty with your finding of a LOAEL of 24. And so
5 let's come back to that.

6 OEHHA STAFF TOXICOLOGIST DODGE: Okay. The
7 Panel asked us to include some information from the study
8 that wasn't in the previous draft. And this is one of the
9 tables we included. This is the pathologists grades from
10 that study.

11 One of the questions was about the age-related
12 effects that we're seeing in the nasal mucosa. And this
13 table shows that in the 0 parts per million or the control
14 group.

15 In the nasal respiratory and olfactory mucosa,
16 you see that in the control group minimal to slight
17 changes in the respiratory mucosa. And in the olfactory,
18 minimal changes. Nearly all the animals were showing
19 these effects.

20 However, with exposure to caprolactam, there's an
21 increase in these lesions with regard to both severity --
22 as its concentration goes up, there's more animals showing
23 severe effects. In other words, if you look at the first
24 row for the respiratory mucosa, we have the moderate grade
25 there, where none of the animals in the control group are

1 showing a moderate grade for the lesions, but it goes up
2 with exposure concentration.

3 So this is the information we used from the nasal
4 mucosa. For example, the moderate lesions is what we used
5 for benchmark dose modeling.

6 --o0o--

7 OEHHA STAFF TOXICOLOGIST DODGE: So when you
8 subtract out the age-related nasal effects that you saw on
9 the control animals, you see a nice dose response. And
10 this information is what we use for our benchmark dose
11 modeling.

12 Now, I should point out the laryngeal tissue did
13 not show age-related effects in the control group, even
14 though it can happen. Apparently, the animals weren't old
15 enough to show this effect yet in the control group or
16 this particular strain of rat doesn't show it.

17 CHAIRPERSON FROINES: Let me just raise a
18 question for the discussion later. But you have minimal,
19 slight, moderate. And in some cases minimal and slight
20 have controls having effects. So that the question of
21 what do you consider minimal or slight to represent seems
22 to me to be a highly relevant question? And let's come
23 back to it.

24 OEHHA STAFF TOXICOLOGIST DODGE: Okay.

25 CHAIRPERSON FROINES: I would not agree that 5

1 should be there is what I'm saying.

2 OEHHA STAFF TOXICOLOGIST DODGE: Um-hmm.

3 --o0o--

4 PANEL MEMBER BLANC: Five?

5 I'm sorry. I don't understand that comment.

6 CHAIRPERSON FROINES: Five and 20 -- out of 20
7 for laryngeal tissue.

8 PANEL MEMBER BLANC: Oh, because you're
9 discounting -- you're discounting minimal change in the --

10 CHAIRPERSON FROINES: I'm discounting minimal.

11 PANEL MEMBER BLANC: So there wouldn't be any
12 effect at all is what you're saying. I don't think I
13 would take the same view, but we can go back to it.

14 CHAIRPERSON FROINES: Well, but there needs to be
15 some consistency across those 3 criteria. And the
16 question is, is there consistency?

17 PANEL MEMBER BLANC: I guess it depends on
18 technically when you did your mathematical derivations
19 from this, how did the 3 separate categories come into
20 play? In other words, did you then say -- did you then
21 add them together? Where you did a benchmark with this --
22 I'm sorry, you did --

23 OEHHA STAFF TOXICOLOGIST DODGE: Yes, benchmark
24 dose modeling on each of those endpoints.

25 PANEL MEMBER BLANC: Separately?

1 OEHHA STAFF TOXICOLOGIST DODGE: Separately.

2 PANEL MEMBER BLANC: And did they all yield the
3 same estimated thing --

4 OEHHA STAFF TOXICOLOGIST DODGE: Yeah.

5 PANEL MEMBER BLANC: -- or you then took the
6 average of the 3 estimates that you got?

7 OEHHA STAFF TOXICOLOGIST DODGE: We went with
8 the laryngeal findings, because they didn't have these
9 age-related background effects to worry about, even though
10 that may not be an issue, and because it gave us the
11 lowest benchmark dose --

12 PANEL MEMBER BLANC: So you felt it was the most
13 conservative.

14 OEHHA STAFF TOXICOLOGIST DODGE: -- point of
15 departure.

16 PANEL MEMBER BLANC: Okay.

17 OEHHA STAFF TOXICOLOGIST DODGE: Though it wasn't
18 much different than --

19 PANEL MEMBER BLANC: All right. Well, I guess
20 what we should do probably just for the sake of clarity is
21 let you finish your presentation, then come back to the
22 points that seem to be more confusing or more --

23 CHAIRPERSON FROINES: There's also a fundamental
24 question about benchmark, which is when Crump, for
25 example, wrote his first paper about it, he talked about

1 the benchmark as being in the range where we have
2 experimental data. And it's not just -- and the question
3 is whether this criteria is actually met in this
4 evaluation.

5 OEHHA SUPERVISING TOXICOLOGIST MARTY: This is
6 Melanie. I'm going to address that. The benchmark dose
7 modeling is a way to curve-fit your data. And you do do
8 some extrapolation below the range of the data. That's
9 exactly one of the points of doing it.

10 CHAIRPERSON FROINES: I know, but the
11 benchmark -- Crump's benchmark paper argues that the
12 closer you can get to actual experimental data, the more
13 justified it is. And if you're making large
14 extrapolations, then the benchmark approach is not much
15 different than a NOAEL/LOAEL approach.

16 OEHHA SUPERVISING TOXICOLOGIST MARTY: Well, in
17 fact, generally, we're not doing large extrapolations for
18 noncancer effects. So most of the time when you look at a
19 benchmark dose model of the data, your BMD -- the lower
20 bound on your benchmark dose for a 5 percent response rate
21 is relatively close to the no effect level on a study.
22 But the beauty is you don't have to argue over what's a no
23 effect level, what's a low effect level if you model the
24 data using the benchmark dose. You're just choosing a
25 predicted 5 percent response rate as your point of

1 departure, rather than choosing a NOAEL or a LOAEL, which
2 are subject to the investigator's chose of dose for
3 example. You're also including sample size and all of the
4 data points when you model the data with a benchmark dose,
5 rather than just choosing a LOAEL or a NOAEL as your point
6 of departure.

7 CHAIRPERSON FROINES: Let's go on. My concern
8 is primarily how do we look at minimal and slight versus
9 moderate, and whether or not that it's justified to
10 include minimal and slight.

11 PANEL MEMBER BLANC: Well, let's come back to
12 that.

13 OEHHA STAFF TOXICOLOGIST DODGE: Well, here, in
14 fact, is a benchmark dose figure, which we show at the
15 last meeting. This is for the laryngeal lesions. Point
16 of departure we used was 3 milligram per cubic meter.
17 This is the 95 percent lower competence limit at the 5
18 percent response rate. And that's shown in the lower
19 left-hand corner there where that is on the curve.

20 --o0o--

21 OEHHA STAFF TOXICOLOGIST DODGE: So a summary of
22 the chronic 8-hour RELs. There is no REL derivation
23 changes from the previous draft. Point of departure is
24 the same, 3 milligrams per cubic meter. After application
25 of dose and time adjustments, and uncertainty factors, the

1 proposed RELs are 7 micrograms per cubic meter for 8-hour
2 and 2.2 for the chronic.

3 --o0o--

4 OEHHA STAFF TOXICOLOGIST DODGE: We added some
5 additional material, as I mentioned at the beginning. One
6 of these was an interesting oral study in dogs by Hazelton
7 Labs. Dr. Blanc asked us to look at and see what Hazelton
8 had done. In effect, they did do this 90-day study in
9 dogs. It's unpublished, but there is quite a bit of
10 information there. And they essentially found the same
11 effects as the chronic study that NTP undertook in rats
12 and mice. And that the major finding was a reduction in
13 weight at a specific exposure level.

14 Also, there is an interesting study by Tuma, a
15 case report, in which a worker was exposed to caprolactam
16 at high levels and came into the emergency room
17 experiencing grand mal seizures and dermal irritation
18 covering most of his body. This is the only report that I
19 know of in which seizures were seen in humans.

20 Now, to get seizures in animals, you have to
21 inject them IP or IV. It seems you can't do it with high
22 level inhalation exposures. You can get severe tremors,
23 but apparently no seizures.

24 We also added the section on human and animal
25 dermal sensitization studies. Many of these were

1 unpublished as well.

2 --o0o--

3 OEHHA SUPERVISING TOXICOLOGIST MARTY: So that's
4 all we had to present on the changes to the document. The
5 Chair had asked us to have some comments on the
6 material -- the additional material from industry
7 stakeholders that was sent to the Panel in the last few
8 weeks. So much of this material actually reiterated
9 comments that we received in the open public comment
10 period, which were already addressed by OEHHA. But we do
11 have several slides where we provide commentary on a few
12 additional points or embellished points. So we can go
13 ahead with that, if you'd like.

14 CHAIRPERSON FROINES: Please.

15 OEHHA STAFF TOXICOLOGIST DODGE: One of the
16 comments that came in raised questions about why is the
17 NOAEL/LOAEL from the Reinhold study, that's the subchronic
18 rat study?

19 Many of these comments have been -- also came in
20 in the first go-round, in that the changes seen in the
21 epithelium of the nasal and laryngeal tissues was adaptive
22 or adapting to an irritant and reversible, and that, in
23 fact, they weren't considered adverse.

24 Some said none of the effects were adverse at any
25 dose, including clinical symptoms in rats, which we find

1 that hard to believe, because the observations clearly
2 indicated that the rats had a -- the health of the rats
3 were compromised.

4 Dr. Renne weighed in with some comments. He is
5 a -- he has quite a bit of knowledge in the field of nasal
6 changes and laryngeal changes with exposure to irritants.
7 He's a pathologist. He looked at the paper by Reinhold.
8 Didn't look at the slides, just the paper, the results,
9 and considered that the metaplastic changes occurring in
10 the larynx were mild and reversible and therefore not
11 adverse.

12 He looked at the nasal lesions and considered the
13 two highest levels, 70 and 243, adverse. Though, he
14 didn't use those words. What he said was at the lowest
15 level was a NOAEL of 24 milligrams per cubic meter. And
16 he also noted that there was a lack of complete recovery
17 at the 4-week post-exposure period that was used in the
18 Reinhold study.

19 --o0o--

20 CHAIRPERSON FROINES: Was he referring to the
21 upper dose?

22 OEHHA STAFF TOXICOLOGIST DODGE: I'm sorry?

23 CHAIRPERSON FROINES: Lack of complete 4-week
24 recovery, what was he referring to?

25 OEHHA STAFF TOXICOLOGIST DODGE: There was a

1 group of rats that were exposed for 13 weeks along with
2 the main group of rats that were sacrificed at 13 weeks.
3 These rats were continued in clean air for 4 weeks
4 following the exposure. And then they looked at the same
5 tissues to see what kind of recovery there was.

6 CHAIRPERSON FROINES: I'm really asking what
7 exposures, was it across the Board or was it 243?

8 OEHHA SUPERVISING TOXICOLOGIST MARTY: It was
9 actually above 70 and 243 is what he commented on.

10 CHAIRPERSON FROINES: So it was 70 and 243. The
11 24 milligram wasn't an experimental dose?

12 OEHHA STAFF TOXICOLOGIST DODGE: You're asking if
13 there was at 24, the low dose there was complete recovery,
14 is that what you're implying?

15 CHAIRPERSON FROINES: I'm not implying anything.
16 I'm just trying to find out what was the dose -- what was
17 the dose that led to this conclusion, doses?

18 PANEL MEMBER HAMMOND: There was a dose at 24.

19 OEHHA STAFF TOXICOLOGIST DODGE: All I -- in his
20 comment, I believe all he said was that 70 and 243 was the
21 increase effective exposure. In other words, he looked at
22 the pathology data in the paper and decided there was
23 enough animals affected at a high enough grade of severity
24 level that --

25 CHAIRPERSON FROINES: Okay. It's fine. So 24

1 was not a dose?

2 PANEL MEMBER HAMMOND: I think 24 was a dose, but
3 it was the dose that the second bullet refers.

4 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yes.

5 PANEL MEMBER HAMMOND: The second bullet is
6 talking about the second dose interpretation?

7 OEHHA SUPERVISING TOXICOLOGIST MARTY: Right.

8 Dr. Renne's interpretation is that 24 is a NOAEL,
9 because, in his opinion, the metaplastic changes seen
10 there were mild and reversible, and therefore not adverse.
11 We happen to not agree with that.

12 OEHHA STAFF TOXICOLOGIST DODGE: They weren't
13 actually metaplastic changes in the nasal tissue. We're
14 talking about hyperplasia and hypertrophy of goblet cells
15 in the respiratory tissue and the increase in eosinophilic
16 material in the epithelial layer of the olfactory tissue.

17 CHAIRPERSON FROINES: It may -- I may sound
18 confusing. I just trying to determine if the lack of
19 complete recovery, if they had done a 24 milligram per
20 cubic meter does and there was not -- and there was
21 recovery.

22 OEHHA STAFF TOXICOLOGIST DODGE: You know, they
23 don't know --

24 PANEL MEMBER BUCKPITT: The study is right here
25 if you want to read it.

1 OEHHA STAFF TOXICOLOGIST DODGE: Okay. I don't
2 recall what Dr. Renne's response was in regard to that.
3 I'm not sure he really specific --

4 OEHHA SUPERVISING TOXICOLOGIST MARTY: I can read
5 you exactly what he said. It's not as clear as perhaps
6 you'd like. But what he says is that, "In my opinion, the
7 data indicate an effect of exposure to 243 or 70
8 milligrams per cubic meter of caprolactam on the nasal
9 cavity, and a lack of complete regression of nasal lesions
10 at these concentrations following 4 weeks of recovery.
11 However, the incidence severity data in the 24 milligram
12 per cubic meter group at the terminal and recovery
13 sacrifices versus the concurrent controls do not clearly
14 indicate an effect on the nasal cavity at the 24 milligram
15 per cubic meter concentration. I agree with Reinhold, et
16 al., that the low incidence and slight severity of goblet
17 cell hypertrophy hyperplasia in this group should be
18 considered as a localized adaptive response to the inhaled
19 particulate matter."

20 CHAIRPERSON FROINES: Thank you.

21 PANEL MEMBER BLANC: That's kind of like arguing
22 that asthma is an adaptive response, isn't it?

23 (Laughter.)

24 PANEL MEMBER BLANC: No comment.

25 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yes. We

1 hear frequently the argument that mild effects are
2 adaptive, and we just can't agree with that in terms of
3 being a toxicologist or anybody in public health.

4 --o0o--

5 OEHHA SUPERVISING TOXICOLOGIST MARTY: We're
6 never exposed to one thing at a time either, and our
7 statutory responsibility is to consider that.

8 PANEL MEMBER BLANC: Okay. We can come back to
9 the fuller discussion. But then I guess the other
10 comments that you felt were new among the industry
11 stakeholder interim comments to the revised document would
12 be what? What else? Is this the main thing?

13 OEHHA SUPERVISING TOXICOLOGIST MARTY: We have a
14 few more.

15 CHAIRPERSON FROINES: Go ahead, Melanie.

16 OEHHA STAFF TOXICOLOGIST DODGE: Okay. Yeah, we
17 disagree that these so-called adaptive changes are
18 non-adverse. The reversibility is irrelevant. And, in
19 particular, Dr. Renne seems to go against his own
20 published papers, in which he says you have to look at the
21 whole animal, not just the pathology effects and take that
22 in consideration.

23 I mean, these animals in the Reinhold paper were
24 showing labored breathing, moist rales, red staining
25 facial area, nasal discharge. And apparently this is an

1 exposure related trend for some of these effects. I don't
2 have individual data for that, though. I just have the
3 information that's in Reinhold.

4 The problem is that Reinhold talks about it in
5 this results, but seems to ignore it in the discussion.
6 And I kind of feel like the pathologist here, Dr. Renne
7 was also doing that.

8 --o0o--

9 OEHHA STAFF TOXICOLOGIST DODGE: We already
10 discussed this bullet point about the benchmark dose. We
11 don't have to worry about NOAEL/LOAELs for benchmark dose
12 program. This is another comment that actually came up
13 before in our first go round.

14 Okay. We did a comparative REL based on the
15 nasal tissue lesions, benchmark dose program. We'll go
16 into that right now.

17 --o0o--

18 OEHHA STAFF TOXICOLOGIST DODGE: This table I
19 believe you've seen before. But I ran a benchmark dose
20 program on each of the three major endpoints, the 2 nasal
21 and the laryngeal endpoints. The laryngeal tissue is what
22 we base our point of departure on, 3 milligrams per cubic
23 meter.

24 But as you notice, for a nasal respiratory
25 mucosa, practically the same point of departure is there.

1 --o0o--

2 OEHHA STAFF TOXICOLOGIST DODGE: In this REL
3 comparison, the dose and time adjustments are the same on
4 certainty factors totaling 60 are applied. These are the
5 same as what we did for laryngeal tissues. And we arrived
6 at 8-hour and chronic RELs, which are just slightly
7 higher, based on the nasal tissue compared to our
8 laryngeal tissue RELs.

9 And for example, for the 8-hour REL, it's 9
10 micrograms per cubic meter here. And I believe for the --
11 based on the laryngeal tissues, the REL is 7.

12 PANEL MEMBER BLANC: That would be on what page,
13 just to refer us to the main document?

14 OEHHA SUPERVISING TOXICOLOGIST MARTY: It's not
15 in the document.

16 PANEL MEMBER BLANC: No, not this, but the one
17 you did earlier.

18 OEHHA STAFF TOXICOLOGIST DODGE: Earlier.

19 OEHHA SUPERVISING TOXICOLOGIST MARTY: So the
20 derivation is on pages 39, that's the 8-hour, and the
21 chronic is on 43, page 34.

22 PANEL MEMBER BLANC: All right.

23 OEHHA STAFF TOXICOLOGIST DODGE: I presented them
24 earlier in the presentation.

25 PANEL MEMBER BLANC: I just wanted to have it to

1 refer to as you go through this.

2 OEHHA SUPERVISING TOXICOLOGIST MARTY: Okay.

3 --o0o--

4 OEHHA STAFF TOXICOLOGIST DODGE: Another comment
5 came in. Some said that there is no evidence --

6 PANEL MEMBER BLANC: Can you just go back. I'm
7 sorry.

8 OEHHA STAFF TOXICOLOGIST DODGE: Yeah.

9 PANEL MEMBER BLANC: And then it's 2.98 versus
10 2.2 basically, is that right?

11 OEHHA STAFF TOXICOLOGIST DODGE: Um-hmm.

12 Any other questions?

13 PANEL MEMBER BLANC: Well, and this -- since
14 there were two nasal outcomes, nasal respiratory
15 epithelium and then nasal olfactory epithelium, which one
16 is this?

17 OEHHA STAFF TOXICOLOGIST DODGE: Respiratory is
18 the point of departure.

19 PANEL MEMBER BLANC: And would it be even less
20 using the point of departure for the nasal olfactory or
21 that.

22 OEHHA STAFF TOXICOLOGIST DODGE: It would be
23 slightly higher, yeah.

24 PANEL MEMBER BLANC: Slightly higher. Okay. But
25 since you used the same approach.

1 Let me see that again. Sorry.

2 Right. Okay. Thanks.

3 OEHHA STAFF TOXICOLOGIST DODGE: Okay.

4 CHAIRPERSON FROINES: Why weren't the models
5 consistent across...?

6 OEHHA STAFF TOXICOLOGIST DODGE: They are pretty
7 consistent. You mean, the point of departures that --

8 CHAIRPERSON FROINES: No, the models you used.

9 OEHHA STAFF TOXICOLOGIST DODGE: Oh.

10 CHAIRPERSON FROINES: You've got log-logistic for
11 one -- log-probit and log-logistic.

12 OEHHA STAFF TOXICOLOGIST DODGE: Generally, go
13 with the model that provides the best P value or in the
14 AIC, the Akaike Information Criterion. This is sort of
15 some of the recommendations by U.S. EPA on how to try to
16 determine which model to use among several that you run
17 for each endpoint.

18 So, for example, respiratory nasal lesions, I ran
19 benchmark dose model probably 8, 9, or 10 of them -- I
20 don't remember -- different models with that data. The
21 log-logistic gave the best fit, though they were all
22 pretty close to the same.

23 CHAIRPERSON FROINES: Go ahead. I don't -- I
24 find it just slightly disturbing that --

25 PANEL MEMBER BLANC: It's probably -- You know,

1 there probably is a trade off in these things. And the
2 trade off, if they were roughly the same, I think, for the
3 exercise that you're doing, the trade off is in favor of
4 using the same model, assuming that the area -- inside
5 the -- what is it?

6 OEHHA SUPERVISING TOXICOLOGIST MARTY: Akaike
7 Information Criterion.

8 PANEL MEMBER BLANC: The Akaike Information
9 Criterion are roughly similar, even if there's a numeric
10 advantage to one over the other, assuming that the model
11 doesn't fall apart probably for this comparative exercise
12 that you're doing, it would be preferable to use the same
13 model, or to --

14 OEHHA SUPERVISING TOXICOLOGIST MARTY: To some
15 degree that's true. I mean, you can use the models and
16 then compare them model to model to model.

17 PANEL MEMBER BLANC: Because I don't know what
18 your numbers were that made you choose but if you -- from
19 your description, you're saying they were all fairly
20 similar. But on purely technical grounds the log-logistic
21 is scored better for this. But if it didn't score
22 dramatically better, all I'm saying is that for the
23 exercise that you're doing here, which is to get a sense
24 of whether you're coming out with similar numbers, it
25 might have been preferable to use the multi-stage model,

1 unless it fell apart.

2 OEHHA SUPERVISING TOXICOLOGIST MARTY: I mean, to
3 some degree, your data drives your choice of model,
4 because the fitting -- if it -- the fitting criteria
5 drivers what you decide -- which model you decide to use.
6 And the data points, to some respect, drive that. So not
7 everything is going to have exactly the same dose response
8 curve is what I'm trying to say, I guess.

9 PANEL MEMBER BLANC: I know what you're saying.
10 I just want to make sure you understand what I'm saying.

11 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah, I
12 do.

13 CHAIRPERSON FROINES: Well, I'll be more blunt.
14 I think that you ought -- you do need to worry about being
15 seen as cherry picking your models.

16 OEHHA SUPERVISING TOXICOLOGIST MARTY: Well, you
17 know, the standard operating procedure is to pick the most
18 sensitive endpoint, which has the $BMCL_{05}$ -- that's the
19 lowest $BMCL_{05}$ with the best fit model. That's the
20 standard default when you're using a benchmark dose model,
21 regulatory default.

22 PANEL MEMBER BLANC: You know, I think we all
23 understand that, and I don't think we're arguing that you
24 shouldn't be using the multi-stage model for laryngeal
25 tissue, if you use the laryngeal tissue. I think all

1 we're arguing is for an exercise in which you want to see
2 were I not to use, how does it stand against the other
3 things I derive using the other ones. Probably for that
4 exercise, all things being equal, using the same model
5 would be preferable.

6 Now, if you find that the statistics just are
7 horrific, your test statistics, and that it's
8 inappropriate to do it, that's a different question. But
9 that's not what I heard being said. What I heard being
10 said is they were pretty similar, but these were the
11 best -- if you narrowly guide yourself by the best AIC
12 number, then you choose this one.

13 PANEL MEMBER HAMMOND: You know, I guess I would
14 just -- I would actually respectfully disagree. I think
15 that generally speaking we do want to find the best model.
16 And the best model that fits the data might actually be
17 different for different outcomes.

18 PANEL MEMBER EISEN: I mean, I guess I would feel
19 more comfortable seeing the range of results from
20 different models. And I'd feel pretty uncomfortable using
21 AIC as a way to choose the best without knowing how close
22 they fell to each other. And there are also all sorts of
23 model averaging approaches that could be used, because you
24 don't really know.

25 AIC --

1 OEHHA STAFF TOXICOLOGIST DODGE: Right. That's
2 one metric US EPA promotes too.

3 PANEL MEMBER EISEN: -- is measure of model, but
4 it's not a particularly reliable indicator of much,
5 certainly not what's true.

6 So if you've got very different results using
7 different model forms, I think it would be more
8 appropriate to present the difference -- whether you
9 have -- not matter what they look like to present the
10 range of results using different models.

11 OEHHA SUPERVISING TOXICOLOGIST MARTY: Well, if
12 you want, we can put all that stuff in an appendix, if you
13 want.

14 PANEL MEMBER BLANC: Sure.

15 OEHHA STAFF TOXICOLOGIST DODGE: I have that
16 information, just not here.

17 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah. So
18 it would be more obvious.

19 PANEL MEMBER BLANC: Sure.

20 OEHHA SUPERVISING TOXICOLOGIST MARTY: Okay.

21 --o0o--

22 OEHHA STAFF TOXICOLOGIST DODGE: This comment
23 came in regarding the mention of possibly colony infection
24 I had in a couple of places in the paper. Now, I was
25 operating of a hypothesis that perhaps there is some sort

1 of infection. And when I reviewed the pathology
2 literature what to look for in the nasal region, if there
3 is an infection, I couldn't find that information in the
4 Reinhold study.

5 So I rejected the hypothesis. Unfortunately, in
6 my rush to get things ready 30 days ago, I didn't take
7 this information out, so it's already out of the paper.

8 --o0o--

9 OEHHA STAFF TOXICOLOGIST DODGE: Comment here.
10 One person thought we used the quantal model in the BMD
11 modeling inappropriately for continuous data. This is not
12 continuous data. The pathology information we had is
13 quantal, so that was just not correct.

14 --o0o--

15 OEHHA STAFF TOXICOLOGIST DODGE: We had some
16 aerosol vapor comments. This comment says that we should
17 not use the Reinhold rat study, because the exposure was
18 in aerosol not a vapor. Regardless of whether it's
19 aerosol or a vapor, at least with the information we have,
20 it's going to be impacting the same area. If we have an
21 aerosol larger than a micron diameter, most of the impact
22 is going to happen in the upper airway for water soluble
23 chemicals. If it's a vapor, it's the same area.

24 We don't have a lot of information on the size
25 ranges of the caprolactam when it's in an aerosol, but

1 what we do have indicates it's going to be impacting
2 mainly the upper airway.

3 --o0o--

4 OEHHA STAFF TOXICOLOGIST DODGE: RGDR, that's the
5 Regional Gas Distribution Ratio dosimetry adjustment. The
6 point here from the comment was that it was unnecessary
7 for a point of contact irritant. Our response is that the
8 RGDR is a method employed by US EPA for water soluble
9 gases.

10 Granted, it's a default, but if we ever have
11 information that's published regarding, for example,
12 pharmacokinetic information for caprolactam and -- in
13 other words, the PBPK modeling approach, you know, for
14 caprolactam specifically, then we could go back and use
15 that information rather than a default.

16 This type of thing was done for formaldehyde and
17 acetaldehyde because we had chemical specific information,
18 but we don't have that for caprolactam, so we went with
19 the default, which is the RGDR method.

20 --o0o--

21 OEHHA STAFF TOXICOLOGIST DODGE: I think this is
22 the last slide. We had a Dr. Haseman make comments here
23 regarding the Ziegler study statistics. He reviewed that
24 paper. The statistics for the Ziegler study not Reinhold
25 in the first line. That's a mistake. And he had some

1 comments regarding the ranking of lesions with the
2 Friedman test.

3 We agreed with most of his comments on the
4 Ziegler paper. We agreed that one needs individual data
5 for a proper evaluation of trends using the Friedman test
6 in the data. We agreed that there -- that the
7 interdependence of the symptom questions in the
8 questionnaire makes it difficult to analyze the data.

9 Dr. Haseman also pointed out a few potential
10 errors which we will be evaluating in fixing where
11 appropriate.

12 --o0o--

13 OEHHA STAFF TOXICOLOGIST DODGE: Okay. We have a
14 few more here we threw in it looks like. A comment here
15 came in that inappropriate to use time extrapolation for
16 an irritant. This goes back to some of the other comments
17 that came in before.

18 When we have an endpoint that's sensory
19 irritation, that is generally considered concentration
20 dependence. Now, if we're talking about tissue injury
21 with subchronic chronic exposure, it is not only
22 concentration dependent, but time dependent.

23 --o0o--

24 OEHHA STAFF TOXICOLOGIST DODGE: Comment here is
25 that some indicated no need for Intraspecies Uncertainty

1 Factor of 10 to account for sensitive humans, such as
2 children with asthma, because the upper airway irritant
3 would not trigger a lower airway symptom.

4 And our response is that an irritant may not
5 reach the lower airway to trigger an asthma response.
6 Now, this is a 10-fold uncertainty factor that we have in
7 our methodology that was approved by the SRP. And we
8 apply it, if we don't have information on the effects of a
9 chemical on a sensitive subpopulation of humans.

10 --o0o--

11 OEHHA STAFF TOXICOLOGIST DODGE: Another comment.
12 There is no need for interspecies uncertainty factor
13 because the rat laryngeal tissues are more or equally
14 sensitive to irritants than humans. And this goes back to
15 the RGDR modeling. It's the default we use.

16 If we have information that's published for
17 caprolactam that shows that this is, in fact, true, then
18 we would go back and incorporate that. But we don't have
19 that information, so we use the US EPA default.

20 That's all of the slides.

21 CHAIRPERSON FROINES: Well, I'm tempted to raise
22 some of the questions that you didn't address, but I think
23 I'll defer that and turn it over to the Panel. There were
24 lots of comments, Melanie, that your slides did not
25 address. So that's something that we may need to come

1 back to.

2 But for the moment, why didn't we turn it over to
3 Paul who was the lead on caprolactam.

4 PANEL MEMBER BLANC: Well, I think we should
5 start with the major question which is, can you just
6 orient the Panel to precedence where you declined to come
7 to any acute effect level for another substance? What are
8 some other substance where you've done that?

9 OEHHA SUPERVISING TOXICOLOGIST MARTY: Where we
10 have not had an acute REL. There actually are quite a
11 few. You know, off the top of my, I can't remember what
12 they are.

13 PANEL MEMBER BLANC: Genre or a few examples
14 would be helpful, just because I don't remember anything
15 recently, so that's what I'm trying to --

16 OEHHA SUPERVISING TOXICOLOGIST MARTY: There are
17 a number of chemicals where we're had just an 8-hour or a
18 chronic or just a chronic, because the data we felt were
19 not strong enough to support the development of a number,
20 which will then be used in risk assessment. So we have
21 done this before. We probably haven't brought forward an
22 acute REL and then pulled it back. I can't remember doing
23 that.

24 PANEL MEMBER BLANC: And this you actually
25 brought forward 2 of the acute RELs, right? Because in

1 your comments you said we've taken away the Ferguson REL,
2 but in fact the Ferguson based one was the second one,
3 because you'd started off using the Ziegler, hadn't you?

4 OEHHA SUPERVISING TOXICOLOGIST MARTY: Right.

5 ENVIRONMENTAL MODELING SECTION SUPERVISOR

6 BLAISDELL: With a total symptoms score.

7 OEHHA SUPERVISING TOXICOLOGIST MARTY: We started
8 off with the -- yes, using the Ziegler with the total
9 symptom score, which had issues with it, because it looks
10 like it's driven by odor and not anything else, and all
11 the other issues that we laid out on that.

12 PANEL MEMBER BLANC: Right.

13 OEHHA STAFF TOXICOLOGIST DODGE: We do have a --
14 we can develop a REL based on odor. In fact, we have for
15 hydrogen sulfide. The odor effect did not appear to be
16 adverse enough in the Ziegler study to derive an acute
17 REL.

18 PANEL MEMBER BLANC: And what would be the
19 process by which, let's say, 6 months from now Ziegler
20 sent you his raw data, what would the process by which you
21 would amend this document? Is there a process in place?

22 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah.

23 PANEL MEMBER BLANC: Have you ever gone back on
24 any of the other ones that you couldn't set an acute REL
25 and then later recommended an acute REL?

1 OEHHA SUPERVISING TOXICOLOGIST MARTY: I don't
2 know remember if we've done that. But we've certainly
3 updated existing reference exposures levels, chronic and
4 acute, so --

5 OEHHA STAFF TOXICOLOGIST DODGE: Based on new
6 information.

7 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah,
8 based on new information or the new methodology.

9 PANEL MEMBER BLANC: So I --

10 OEHHA SUPERVISING TOXICOLOGIST MARTY: To answer
11 your question, if we did get the data and we could apply
12 that ranking to the raw data, we could come back with an
13 acute REL. No doubt.

14 PANEL MEMBER BLANC: I should have started off by
15 saying, well, one thing is I think that you've been very
16 responsive to the feedback that you received at the last
17 pleating, and have strengthened the document by including
18 a lot of data that was available, even if it wasn't
19 classic peer reviewed published data.

20 So I think that overall that makes the document
21 stronger, and I think that you've also provided additional
22 detail of the key studies that you did use. And I think
23 that that's helpful too in making the document more
24 transparent. I think there may be appendix material that
25 you want to prepare in light of some of these things. And

1 I think that the appendix material probably should include
2 what the acute effect level would have looked like had you
3 used 5 milligrams per meter as the LOAEL, which would have
4 been the net effect of interpreting Table 3 as
5 showing that there was a clear effect once you were at 5
6 milligrams per meter.

7 I think that your discussion that is just below
8 Table 5 -- 3, I'm sorry, on the test for trend and why you
9 felt you couldn't rely upon it was not wholly convincing
10 for me, because what we're really asking ourselves is the
11 common sense question, is something going on at Table 5
12 that would allow 4 of the 5 endpoints to have the
13 highest -- have the most effect there, and one of them to
14 be tied with the next lowest level?

15 So that's not exactly the same question as a test
16 for trend -- that a test for trend is asking really. So I
17 also -- since you say which ones are medians, if you look
18 at the first column, then is the default assumption that
19 nasal resistance and redness as a mean value, and all the
20 others are median. You don't say that.

21 I mean, I think you should clarify it. But then
22 when you start saying many of these measures when using
23 means as supplied in the paper, since many of these
24 measures, but we are not using the means for this, except
25 for maybe two of those measures where you don't say.

1 So three of them you're using medians, right?

2 So I'm not really clear -- I'm not really clear
3 why this issue about the means and the skewed
4 distributions is so important to you? I think it's
5 overstated.

6 CHAIRPERSON FROINES: Paul, where are you reading
7 from?

8 PANEL MEMBER BLANC: Well, I'm looking on page
9 11, the paragraph just below table.

10 OEHHA STAFF TOXICOLOGIST DODGE: It wasn't
11 entirely clear from the study in all cases, but it appears
12 that all three of the objective measures were medians.

13 PANEL MEMBER BLANC: Well, blink frequency you're
14 saying is objective. You say it's median.

15 OEHHA STAFF TOXICOLOGIST MALIG: Yeah, blink
16 should be. Your interpretation is right. Where we
17 explicitly say median -- oh, sorry. Brian Malig.

18 OEHHA SUPERVISING TOXICOLOGIST MARTY: Here,
19 Brian, you've got speak into here.

20 OEHHA STAFF TOXICOLOGIST MALIG: So where it's
21 explicitly written down that medians are the study measure
22 are is where we use the medians

23 OEHHA. SUPERVISING TOXICOLOGIST MARTY: So blink
24 frequency, eye symptom score and nasal symptom score are
25 medians. Redness and nasal resistance are means.

1 PANEL MEMBER BLANC: Well, then that's not many
2 of the measures, is it? It's 2 of 5. That's not how I
3 would use the term "many". So I think you're overstating
4 it. I think you're --

5 OEHHA SUPERVISING TOXICOLOGIST MARTY: Well, I
6 think our -- the major issue that we had is that in order
7 to do this properly, we need the individual data not
8 the -- just the means or the medians, because you're then
9 ignoring the distribution of the data.

10 PANEL MEMBER BLANC: And I'm not convinced that's
11 true either. But if that's your main point, then drop the
12 other part, because it makes it -- you talk about skewed
13 data when you're using the median. That ignores any
14 skewness.

15 OEHHA STAFF TOXICOLOGIST MALIG: Yeah. So it's
16 valid for the measures where we use the means.

17 PANEL MEMBER BLANC: Do you believe that for
18 nasal resistance the skewness was such a problem for that.
19 I believe that they presented the medians for the ones
20 they thought were the moist skewed. Do you actually
21 believe that the ones for which they used the mean, they
22 shouldn't have, the nasal resistance and the redness --
23 degree of redness?

24 OEHHA SUPERVISING TOXICOLOGIST MARTY: If you
25 look at the paper, you can see that there is quite a bit

1 of variation in those data. And it's hard for me -- I
2 mean, yes, you can think that there's probably a trend,
3 but to nail it statistically, we still need the individual
4 data. And that's where we're all hung up.

5 PANEL MEMBER BLANC: No. You would prefer to
6 have the individual data.

7 OEHHA SUPERVISING TOXICOLOGIST MARTY: I think we
8 need to have the individual data.

9 PANEL MEMBER BLANC: And I don't agree with you,
10 but -- and I'm not trying to make you go back and redo
11 this, if you don't want to present an acute REL that's
12 better than presenting the wrong one, which I think would
13 have been the case using the Ferguson data. But I do
14 think that those two paragraphs could be rewritten. And I
15 think they're overblown, frankly, or at least they
16 don't -- you know, one could make an argument in the other
17 correction. And I think there's a heterogeneity of views
18 probably on your advisory committee on that regard.

19 I think it's great that Table 3 is in the
20 document. I'd like to see it stay there, because I think
21 it makes the point just on a sort of a common sense level
22 that something is going on at 5. Whether there's a
23 statistical approach that would satisfy -- be more
24 satisfying, I think, we're probably too late in the game
25 to figure that out.

1 But I think it -- and I think you're on solid
2 ground when you say you wouldn't have a lot of precedent
3 for using an analytic approach like this for arriving at a
4 low effect level. But on the other hand, I think you
5 throw the baby out --

6 OEHHA SUPERVISING TOXICOLOGIST MARTY: With the
7 bath water.

8 PANEL MEMBER BLANC: -- with the bath water a
9 little bit on this.

10 So I don't think you need to -- I think you
11 should rewrite those two paragraphs, let's just say, and
12 be more conservative than the other way. Even though I'm
13 not asking you to suddenly reintroduce this as the basis
14 of your -- is that acceptable?

15 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah. No.
16 Yes. You know, I would also like to hear if other people
17 on the Panel think we should go back and try to come up
18 with an acute REL? I mean, we're still trying to get the
19 raw data. We're not having much luck.

20 PANEL MEMBER BLANC: And he just doesn't answer
21 Emails?

22 OEHHA SUPERVISING TOXICOLOGIST MARTY: We've
23 contacted all three authors and the Person who's listed as
24 the statistician and not an author.

25 PANEL MEMBER BLANC: And none of them have

1 replied.

2 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah, they
3 replied, but not with the data.

4 PANEL MEMBER BLANC: What are they saying?

5 OEHHA SUPERVISING TOXICOLOGIST MARTY: You know,
6 well everybody said, "Oh, Ziegler has it", and Ziegler has
7 not replied.

8 OEHHA SUPERVISING TOXICOLOGIST BLAISDELL:
9 Ziegler won't reply.

10 PANEL MEMBER BLANC: And have you reached him by
11 telephone?

12 OEHHA SUPERVISING TOXICOLOGIST MARTY: No.

13 OEHHA SUPERVISING TOXICOLOGIST BLAISDELL: We
14 haven't tried that yet.

15 PANEL MEMBER BLANC: I think that would be one
16 thing to do is to call him on the telephone. I don't know
17 what the budgetary limitations are currently?

18 OEHHA SUPERVISING TOXICOLOGIST MARTY: We have to
19 permission to make an out-of-country phone call.

20 PANEL MEMBER GLANTZ: You're kidding me?

21 PANEL MEMBER NAZAROFF: How about on Skype?

22 OEHHA SUPERVISING TOXICOLOGIST MARTY: I wish I
23 were.

24 OEHHA STAFF TOXICOLOGIST DODGE: Dr. Blanc, you
25 may have looked at the comments there and noticed that Dr.

1 Haseman had access to a little more information, and
2 that's provided in the published paper. And he seemed to
3 feel that the -- in regards to the nasal resistance
4 information, it wasn't as strong as it appears in the
5 published paper.

6 PANEL MEMBER BLANC: Well, I don't think that
7 that's anything that we can base any action on, one way or
8 the other. But I'd be happy to yield my time on other
9 points if it would be a more coherent discussion to first
10 focus on this, rather than me say other things and then
11 come back to the issue of the acute REL and how the
12 Ziegler data should be utilized.

13 Again, just to reiterate, I'm not telling you now
14 to go back and use the Ziegler data for an acute REL
15 derivation, but I believe your argument for why you can't
16 interpret the data in Table 3 is showing a no effect level
17 of 5 is overstated, if that makes sense.

18 So I'm happy, Dr. Froines, if would you like
19 to --

20 CHAIRPERSON FROINES: Let's ask the question.
21 Are there others who would like to address the acute
22 issue?

23 PANEL MEMBER GLANTZ: Yeah, I agree with your
24 decision to take it out for the reasons you did, but I
25 also think you could back off on the language. I mean, I

1 think if you look at this table there's certainly a very
2 strong suggestion of a trend. Even though I think you're
3 right that to try to use the Friedman statistic is
4 pressing it a little beyond what it should be.

5 But I agree with Paul, I think you are a little
6 bit throwing the baby out with the bath water. So I just
7 think toning the language down here a little bit. And
8 frankly, I think you should -- I bet, if you needed us to
9 vote, that you should be allowed to make an international
10 phone call, we would do that. Or if you wanted to come
11 over to UCSF, you could use my phone. I mean, I do think
12 it's a lot harder to ignore a phone call than an Email.

13 OEHHA SUPERVISING TOXICOLOGIST MARTY: We will
14 try.

15 CHAIRPERSON FROINES: I think that you're a
16 reviewer of their document and coming over to your office
17 and using your phone is not appropriate.

18 PANEL MEMBER GLANTZ: Okay.

19 OEHHA SUPERVISING TOXICOLOGIST BLAISDELL: We'll
20 do the phone call.

21 PANEL MEMBER GLANTZ: We would endorse you using
22 your phone. I mean that's kind of crazy.

23 OEHHA SUPERVISING TOXICOLOGIST MARTY: I'll use
24 my home phone.

25 OEHHA SUPERVISING TOXICOLOGIST BLAISDELL: We'll

1 figure out how to do the phone thing.

2 PANEL MEMBER GLANTZ: Yeah. It's really easy to
3 ignore --

4 PANEL MEMBER BLANC: Dr. Ziegler is at what
5 university in Germany?

6 OEHHA SUPERVISING TOXICOLOGIST BLAISDELL: He's
7 in Heidelberg.

8 PANEL MEMBER BLANC: And I think the other thing
9 to consider is contacting his chair as it's a hierarchical
10 system, his department chair or his rector. In fact, I
11 would actually exactly recommend that. If the phone call
12 is not successful, I would go to the rector of the
13 university.

14 OEHHA SUPERVISING TOXICOLOGIST MARTY: Okay. And
15 just in response to Dr. Blanc, I do agree, and we were all
16 looking at that, saying well, it looks like there is a
17 trend, but we just can't get our hands on it
18 statistically, so we were not as comfortable just --

19 PANEL MEMBER GLANTZ: Well, I think all you have
20 to say is just what you said, that if when you look at
21 these rankings there appears to be a pattern, but there's
22 really not a worked out, well accepted statistical
23 technique for putting a P value to that conclusion.

24 I mean, it is possible to make observations
25 without calculating P values. But you I also would second

1 what Paul is saying. I mean, I think this guy completely
2 ignoring you is pretty irresponsible. And if he won't
3 respond, going to his department head would be
4 appropriate, because that's -- you know, he's published
5 this stuff. He's put it out there. He should make that
6 information available.

7 OEHHA SUPERVISING TOXICOLOGIST BLAISDELL: Yeah.

8 CHAIRPERSON FROINES: Are there others who want
9 to weigh in on this issue?

10 PANEL MEMBER ARAUJO: Yes. I have an issue
11 really to the acute toxicity. And it has to do with the
12 case report --

13 PANEL MEMBER BLANC: Let me come back to that
14 first step. That's a separate issue in my mind, I think.
15 If I don't address your point, then come back to it.

16 PANEL MEMBER ARAUJO: Okay.

17 PANEL MEMBER BLANC: And nobody else commented
18 on --

19 CHAIRPERSON FROINES: Paul, before you -- Paul,
20 excuse me.

21 PANEL MEMBER BLANC: Yeah.

22 CHAIRPERSON FROINES: Are you about ready to take
23 a break?

24 THE COURT REPORTER: If you want to take one,
25 that would be great.

1 CHAIRPERSON FROINES: Maybe this would be a good
2 time to take a short break before we start.

3 PANEL MEMBER BLANC: Sure.

4 CHAIRPERSON FROINES: I'm just trying to make
5 sure he's comfortable.

6 PANEL MEMBER BLANC: I'm into his carpal tunnel.

7 (Laughter.)

8 CHAIRPERSON FROINES: So let's take a -- how
9 about a 5 minute break, is that okay?

10 (Thereupon a recess was taken.)

11 OEHHA SUPERVISING TOXICOLOGIST MARTY: Can I add
12 in one more issue that didn't come up about the statistics
13 that should be in here, and I see is not.

14 So I'm going to hand it to Brian Malig.

15 STAFF TOXICOLOGIST MALIG: Well, I just had a
16 question or concern about when you use -- I'm Brian Malig.

17 CHAIRPERSON FROINES: Put it closer to you,
18 please.

19 PANEL MEMBER NAZAROFF: Yeah. Also be sure to
20 speak up, because older people start to lose hearing
21 acuity.

22 STAFF TOXICOLOGIST MALIG: But I guess when
23 you're using the medians in this sort of way, aren't you
24 sort of -- aren't you basically ignoring the fact that
25 there's sort of a repeated subjects design inherent in

1 this study, and that we should really be looking at the
2 dose responses over each person and say applying a
3 Friedman that way, so that you have rankings over each
4 individual and then sort of taking all of those
5 individuals into consideration in total?

6 PANEL MEMBER GLANTZ: He's looking at me.

7 (Laughter.)

8 PANEL MEMBER GLANTZ: I think that would be
9 better, but I think that it kind of comes down to how you
10 want to think about the problem. You know, if you want to
11 take -- it's like if you say, okay, well, if I want to
12 weigh myself this morning, you know, and I get on the
13 scale five times, I'll get five slightly different
14 numbers, you know.

15 And so if I just average them and I'm plotting my
16 weight, you know, I should really -- I'm leaving out the
17 variance within each day, and you are. So, I mean, if you
18 did -- you know, looked at the dose responses within each
19 individual, and took all that variance into account,
20 that's going to be better, because you have more
21 information. But lots -- there's nothing wrong with
22 comparing averages, if you just realize that's what you're
23 doing, because the thing that you gain when you -- by
24 doing what you want, you get a better estimate of the
25 variance, but that also can fuzz things up too, but you

1 get more degrees of freedom. So how it's all going to
2 come out in the wash isn't totally obvious.

3 Generally, when you -- if you're just comparing
4 averages, throwing out the variances, you know, you're
5 actually throwing away information, so you're going to
6 lose sensitivity. You're throwing away degrees of
7 freedom, so you're going to lose sensitivity.

8 So the kind of very crude thing that you have in
9 this table -- you know, and the fact that there is a
10 pretty strong pattern when you just look at it, to me is
11 reasonable evidence that there's a trend. The problem is
12 that, you know, all of the statistical theory to prove
13 that you can use the free -- specifically, the Friedman
14 test on this, you're right, that isn't there.

15 So, you know, I think that you've got pretty
16 strong evidence that there's a trend here. It's just that
17 there isn't a really good statistical method that's well
18 worked out to use it. But there's nothing wrong with, you
19 know, summarizing the data with a mean or a median and
20 then looking at patterns in the means or medians. People
21 do that all the time. Is that an appropriately --

22 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yes.

23 OEHHA SUPERVISING TOXICOLOGIST BLAISDELL: Thank
24 you.

25 PANEL MEMBER BLANC: And to follow up --

1 PANEL MEMBER GLANTZ: I think the bottom line is
2 I think we all agree what you should do. I think what you
3 should do is, you know, be a little less harsh about the
4 conclusion you're drawing from this, because I think there
5 is a pretty strong pattern here. And then I think you
6 should aggressively pursue the raw data, so that we don't
7 have to have this discussion.

8 PANEL MEMBER BLANC: So two follow-ups from that.
9 One, is to return to -- let's assume there's a scenario
10 when you don't get the raw data in a timely fashion. And
11 we should probably give you guidance as to what that time
12 cutoff would be, if that will make your lives better,
13 rather than saying we're going to decide on the document
14 today, and that's it. So you'd have to come back with a
15 formal revision.

16 But if you don't get the raw data in a way that
17 let's you satisfactorily analyze it, then I do think an
18 appendix should include what the acute REL would have
19 looked like had you taken 5 milligrams per meter as the
20 LOAEL. And I hope that's acceptable to you.

21 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah.

22 PANEL MEMBER BLANC: So let me then -- and I --

23 PANEL MEMBER GLANTZ: I think that that's a good
24 compromise.

25 PANEL MEMBER BLANC: So let me make some comments

1 now, and I hope this subsumes one of the things that you
2 were going to say.

3 First of all, I think that the language that
4 describes, or the language that speculates in terms of the
5 impressive single human case report is -- the speculative
6 part of that language should be deleted. And I don't
7 think it's appropriate, like maybe he ingested it.

8 OEHHA STAFF TOXICOLOGIST DODGE: Well, I was
9 asked to speculate more at the last meeting, so I was --
10 that's what I was doing.

11 PANEL MEMBER BLANC: Well, but that's not the
12 direction I'd take. Speculation -- I think the
13 speculative thing to say would be rather that the
14 inference is that this chemical does have neurotoxic
15 potential, even though we're limited to a single human
16 case report.

17 And similarly, I think the discounting of the
18 animal data in which there is neurotoxicologic data is
19 also downplaying and over back pedaling on the potential
20 meaning. You're a public health agency. It's not your
21 job to speculate explanations for why observed neurotoxic
22 effects in animals should be ignored or discounted or, you
23 know, well these were high doses. They were near death.
24 You can't give it a this has to be done.

25 There are many reasons why a neurotoxin might be

1 more evident given parenterally rather than orally. You
2 don't know anything about the first pass metabolism. I
3 mean, there's just a lot of stuff.

4 So I think that that language, which I think is
5 both -- follows the case report and then follows the
6 animal data should be revised, toned down certainly -- at
7 a minimum toned down, so that it's less apologetic for the
8 observation.

9 And then finally, I think that in the same realm,
10 in addition to the argument for the child protective
11 factor that stems from your consistent approach to
12 irritant potential chemicals being presumed to have
13 potentially differential effects in children. I think
14 also the neurotoxicants you have generally presumed. And
15 I think that that should be stated there, not as your
16 driving force, but as yet another consistent --

17 CHAIRPERSON FROINES: What are you referring to?

18 PANEL MEMBER BLANC: Well, there's a factor of 10
19 used as the child protective. And that's based on the
20 irritant effect and its potential relationship to asthma.

21 CHAIRPERSON FROINES: Right.

22 PANEL MEMBER BLANC: And I believe that a
23 neurotoxicant similarly, in general, the approach of OEHHA
24 has been to also presume that children might be at higher
25 risk from --

1 CHAIRPERSON FROINES: Neurologic --

2 PANEL MEMBER BLANC: -- neurologic toxins or
3 seizurogenic things.

4 Also, in terms of that human case report, you
5 have a discussion, unless I misread it there, about how
6 well animal studies don't show that -- no. There's no
7 other data that show that it's a sensitizer. But later on
8 in the skin section, you talk about the human case reports
9 of contact dermatitis.

10 Now, maybe you are thinking of those as being
11 entirely different types of sensitization. And it's true
12 that contact dermatitis is generally a Type 4. And, you
13 know, we don't classify asthma sensitizers maybe in the
14 same way. But it was weird, that statement was
15 inconsistent within the later data.

16 So unless I misread what you were trying to say
17 or misinterpreted. You know, there are two places where
18 you talk about sensitization --

19 OEHHA STAFF TOXICOLOGIST DODGE: In the animal
20 section and the human section.

21 PANEL MEMBER BLANC: Right, but then you're
22 talking about the human cases later on. I could find
23 where it is, but it's not at the place where you say
24 there's no data to show that it -- there's no other human
25 data to show it's a sensitizer. And then, you know, later

1 on you talk about the human cases of contact dermatitis.

2 CHAIRPERSON FROINES: What page are you on?

3 OEHHA STAFF TOXICOLOGIST DODGE: Yeah. I think I
4 can clear that up. But part of the problem is that in
5 order to get this possible so-called mild sensitization,
6 the concentration of caprolactam placed on the skin was
7 rather high and caused --

8 PANEL MEMBER BLANC: It's an irritant.

9 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, caused
10 damage to the skin, which caused --

11 PANEL MEMBER BLANC: That's always a problem.

12 OEHHA STAFF TOXICOLOGIST DODGE: There apparently
13 was a --

14 CHAIRPERSON FROINES: If you are at page 22.
15 It's not till page 22, second full paragraph, a few
16 reports of dermal hypersensitivity resulting from
17 long-term exposure to caprolactam had been published.
18 Aguirre 1995, Hausen 2003.

19 OEHHA STAFF TOXICOLOGIST DODGE: Right. Right.

20 PANEL MEMBER BLANC: Considering the widespread
21 occupational and consumer use of Nylon-6 materials that
22 few reports of individuals becoming hypersensitive to
23 caprolactam exposure appear to indicate that
24 hypersensitivity is an unusual outcome of caprolactam
25 exposure. No evidence for respiratory hypersensitivity

1 was found in the literature. Now, first of all, I think
2 that's misplaced there, because you don't talk about those
3 case reports when you talk about the human data. That's
4 one thing. So, first, you need to talk about them when
5 you're talking about human health effects.

6 OEHHA STAFF TOXICOLOGIST DODGE: Would you like
7 me to put a summary of those papers in there?

8 PANEL MEMBER BLANC: Yes. Well, I don't think
9 you have to detail them. But where you talk about the
10 human evidence, like this one case report, you make that
11 sound like that's the only case report of any adverse
12 effect in the medical literature related to this chemical.
13 But I would say that if there are case reports of contact
14 dermatitis, it would be appropriate to put them there as
15 well. I'm not saying you have to give some detailed, you
16 know, business about them.

17 And also since you say in that other place
18 there's no evidence of sensitization, because that guy had
19 skin effects as well as seizures, this argues against
20 that, right? It certainly contradicts the statement that
21 there's no other evidence.

22 Does that make sense?

23 OEHHA STAFF TOXICOLOGIST DODGE: I believe so,
24 yeah.

25 CHAIRPERSON FROINES: Paul, are you going to go

1 on with that paragraph, because I had a problem with it.

2 PANEL MEMBER BLANC: Well, let me say a couple
3 other things about that paragraph. What does long term
4 mean in that paragraph to you?

5 OEHHA STAFF TOXICOLOGIST DODGE: Well, for
6 example, one of the case studies that is not in here, the
7 patient was -- had skin tumors, and he went in for a
8 period of 10 years to have them removed and then they used
9 a suture that was actually made of -- had Nylon-6. So
10 after roughly, I don't know, 10 years of exposure, he
11 became sensitized to caprolactam in the Nylon-6.

12 PANEL MEMBER BLANC: So he had sutures in
13 constantly for 10 years?

14 OEHHA STAFF TOXICOLOGIST DODGE: He was going
15 back -- yeah, he had roughly 20 operations, I think over a
16 period of time.

17 PANEL MEMBER BLANC: Yeah, but each time he would
18 have had the sutures in for a week or something, right?

19 OEHHA STAFF TOXICOLOGIST DODGE: Right.

20 PANEL MEMBER BLANC: So that's not long term.

21 OEHHA SUPERVISING TOXICOLOGIST MARTY: It's more
22 repeated.

23 OEHHA STAFF TOXICOLOGIST DODGE: Well, he
24 continually repeated, I guess is more --

25 PANEL MEMBER BLANC: So repeated. But all

1 contact dermatitis inducing agents by and large, if it's
2 allergic contact dermatitis, have repeated exposures. And
3 then one becomes sensitized at some point. So I'm not
4 sure what that is mean -- is that one of these case
5 reports that you cite here?

6 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, yeah.

7 PANEL MEMBER BLANC: So which one is it? Aguirre
8 or --

9 OEHHA STAFF TOXICOLOGIST DODGE: I don't recall
10 which one. It's either Aguirre or Hausen.

11 PANEL MEMBER BLANC: Okay. And those were the
12 only two that you found of contact dermatitis.

13 CHAIRPERSON FROINES: No, but I thought you said
14 that there was one missing that you didn't put in.

15 PANEL MEMBER BLANC: You meant you didn't put the
16 details in there is I think what you mean.

17 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, right.

18 PANEL MEMBER BLANC: Were there other case
19 reports of contact dermatitis you didn't cite or these
20 were the only two you were --

21 OEHHA STAFF TOXICOLOGIST DODGE: These were the
22 two published ones I could find, yeah, that deal
23 specifically with Nylon-6 or caprolactam.

24 PANEL MEMBER BLANC: Right, right, right. So in
25 any event, I wouldn't use that and I don't think I

1 would -- actually, the whole discussion of well it's
2 widely used and these are the only two case reports, so it
3 must be exceedingly rare. I mean, I'm not sure that
4 that's the point. I mean, often there are things that we
5 only have a few case reports. One of the biases in the
6 literature is if somebody has already published a case
7 report, it's hard to get another case report published,
8 because it's not novel, unless you do a whole case series.

9 There may be data out there lurking where they've
10 used caprolactam in, you know, a group study, where
11 they're looking at cross sensitivity. I don't know. I
12 haven't -- you know, I haven't done that literature
13 review.

14 One thing that you might do just to satisfy
15 yourself, is to send an Email to Dr. Howard Maibach at
16 UCSF, who really is sort of the repository of all contact
17 and irritant contact dermatitis data. And just, you know,
18 ask him personally, if he's ever seen a case. I mean, I
19 wouldn't -- just in terms of am I missing something, you
20 know, asking yourself, not in terms of including text.

21 But I think this language here is another example
22 of where I was struck by a kind of back-pedaling in a way
23 that was unnecessary.

24 CHAIRPERSON FROINES: Paul, can I just comment?

25 PANEL MEMBER BLANC: Sure.

1 CHAIRPERSON FROINES: They say appear -- based on
2 the few reports indicate that hypersensitivity is an
3 unusual outcome of caprolactam exposure. I don't -- I
4 think the word "unusual" is inappropriate, because I think
5 that the evidence indicates that there are effects. There
6 is hypersensitivity. And we know that the data we've been
7 working with are a very limited number of studies. So to
8 draw a conclusion that it's unusual seems to me to be
9 believing that because we don't have more data, it's not
10 highly prevalent, but that's not necessarily a conclusion
11 I think you should draw.

12 OEHHA STAFF TOXICOLOGIST DODGE: We can go ahead
13 and take that out.

14 PANEL MEMBER BLANC: Anyway. So those are -- in
15 terms of the acute effects, I think that also where
16 you -- first of all, this applies to other areas, but it
17 was particularly relevant because of the challenging
18 database you were dealing with. When you say unpublished,
19 when you use the term "unpublished", don't you really mean
20 unpublished in the peer-reviewed literature?

21 OEHHA STAFF TOXICOLOGIST DODGE: I tried to do
22 distinguish that.

23 PANEL MEMBER BLANC: Because sometimes you say
24 not peer-reviewed and sometimes you say not published. It
25 probably would be safer for you to go back and edit that,

1 so that if what you mean to say is it hasn't been
2 published in the peer-reviewed literature, that that's
3 what you say, because some of these things, I'm not trying
4 to nitpick, but things which are available on the Internet
5 or published on the Internet, they're just not published
6 in a peer-reviewed -- so some of these things you access
7 because they're in databases. They're publicly available.

8 And then there's non-published proprietary data,
9 which you somehow got ahold of maybe in some other way. I
10 don't know. But you see the point I'm making.

11 OEHHA STAFF TOXICOLOGIST DODGE: Yes, I do now.

12 PANEL MEMBER BLANC: I think you're on safer
13 ground just to say -- if that's what you mean, and I think
14 that's really your implication, just say it every time
15 consistently.

16 OEHHA STAFF TOXICOLOGIST DODGE: Okay.

17 PANEL MEMBER BLANC: Non-published in the
18 peer-reviewed literature.

19 OEHHA STAFF TOXICOLOGIST DODGE: Right.

20 PANEL MEMBER BLANC: So the data that, you know,
21 that I had pointed out to you, which was on a database,
22 which was these -- the study -- the acute studies in
23 several species. And that -- well that's, can you just
24 orient me which page again that's on? It's in the animal
25 data, so it's got to be starting somewhere on 14 or 15.

1 OEHHA STAFF TOXICOLOGIST DODGE: It's probably in
2 more than one place, because...

3 PANEL MEMBER BLANC: Well, there's a place where
4 you said that the cats were more sensitive or something
5 was more sensitive, but they didn't really say how.

6 OEHHA STAFF TOXICOLOGIST DODGE: Well, it was a
7 statement --

8 OEHHA SUPERVISING TOXICOLOGIST MARTY: Page 15.

9 OEHHA STAFF TOXICOLOGIST DODGE: -- made in the
10 paper I had. And, yeah, there was nothing to back it up.

11 PANEL MEMBER BLANC: Was that 15, page 15 or 16?

12 OEHHA STAFF TOXICOLOGIST DODGE: Right. It's on
13 page 15 at the top, first paragraph, "Rabbits and cats are
14 said to be more sensitive to caprolactam, but no data was
15 provided". Okay, that's -- I couldn't find -- they
16 didn't have any information there to back it up.

17 PANEL MEMBER BLANC: And this is in the BASF data
18 reported by Ritz in 2002. Is that what that is? Because
19 somebody is reporting somebody's data, but you were never
20 able to access the original data, is that what all that
21 means?

22 I mean --

23 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, I believe
24 that's probably what it means.

25 PANEL MEMBER BLANC: So it's not exactly

1 transparent. And then the other study, the one that
2 was -- is that the 1950's study or -- it was not clear
3 who -- the one that was submitted anonymously, so it
4 wasn't actually clear, I think -- it's the EPA one. The
5 one in the EPA database, which one is that?

6 OEHHA STAFF TOXICOLOGIST DODGE: You mean the one
7 that was submitted to the US EPA just a few years ago --

8 PANEL MEMBER BLANC: Yeah, but from old data.

9 OEHHA STAFF TOXICOLOGIST DODGE: -- based on data
10 from the early fifties.

11 PANEL MEMBER BLANC: Yeah, which one is that?

12 OEHHA STAFF TOXICOLOGIST DODGE: I labeled that
13 one, US EPA 2009, because that's when they received it.

14 PANEL MEMBER BLANC: And where is that in this
15 section here, just to orient me again? Is this under
16 chronic toxicity to animals?

17 OEHHA STAFF TOXICOLOGIST DODGE: That's where
18 we're looking.

19 PANEL MEMBER BLANC: US EPA 2009, "A skin
20 sensitization test was conducted on guinea pigs and dogs
21 at the end of their inhalation exposure regimen".

22 OEHHA STAFF TOXICOLOGIST DODGE: Where do you
23 find that?

24 PANEL MEMBER BLANC: On page 29. And it's before
25 that in dogs. Okay, so when one goes to your reference

1 list and gets US EPA 2009, and you see it's
2 epsilon-caprolactam, right?

3 OEHHA STAFF TOXICOLOGIST DODGE: Um-hmm.

4 PANEL MEMBER BLANC: First of all, I think at
5 some -- in the text you should say that this was -- what
6 these data were, right? This is data from the fifties,
7 not data from 2009, right? It's like a --

8 OEHHA STAFF TOXICOLOGIST DODGE: Right. Right.
9 I'm sure I did that in spots, but not everywhere.

10 PANEL MEMBER BLANC: But isn't this the only
11 place you talked about it or is it at the beginning, an
12 unpublished study, with 4 dogs, 6 rats, and 2 rabbits,
13 right?

14 OEHHA STAFF TOXICOLOGIST DODGE: Right.

15 PANEL MEMBER BLANC: And this study was
16 conducted, but only -- okay. There it is. Okay, never
17 mind my comment. But I think you should say it was
18 reported -- it was done in 1952, but who did it is not
19 publicly known or something.

20 OEHHA STAFF TOXICOLOGIST DODGE: Right.

21 PANEL MEMBER BLANC: Okay. But it was industry
22 study presumably.

23 OEHHA STAFF TOXICOLOGIST DODGE: Yeah. That's
24 what it looks like.

25 OEHHA SUPERVISING TOXICOLOGIST MARTY: I think we

1 can -- probably the easiest thing is to point out under
2 what statute was this submitted?

3 PANEL MEMBER BLANC: Yeah.

4 OEHHA SUPERVISING TOXICOLOGIST MARTY: And why?

5 PANEL MEMBER BLANC: And then I think when you
6 have the thing at the back, you know, the reference,
7 you -- US EPA 2009, you could actually --

8 OEHHA SUPERVISING TOXICOLOGIST MARTY: We should
9 change that.

10 PANEL MEMBER BLANC: Well, no. You could just
11 put reporting data from or something and the references.

12 OEHHA SUPERVISING TOXICOLOGIST MARTY: Submission
13 by

14 PANEL MEMBER BLANC: Right exactly.

15 In any event, one thing that I think didn't quite
16 come through with the toxicology section, because you've
17 got the acute, then you've got the chronic, which is
18 a -- which strengthens, what is otherwise, you know, very
19 herterogenous and spotty data, is that you do have
20 multiple species with data.

21 It may be acute. It may be chronic. I wonder
22 whether a very simple table which would have species on
23 one axis, and the effects which would be acute irritant,
24 chronic irritant, acute ever chronic whatever if you would
25 be a nice summary table or some -- don't you think that

1 would sort of strengthen things?

2 OEHHA SUPERVISING TOXICOLOGIST MARTY: It would
3 make it easier to look at stuff.

4 PANEL MEMBER BLANC: Right. And where to put
5 that is a bit of a question, because if you've got acute
6 and then chronic, but it could somewhere sort of just
7 towards the end of your complete review of the data.

8 And that way you could deal with the irritant and
9 sensitization neurologic, because you really do have
10 multiple species in the end. And that was one of the
11 reasons why I brought this, you know, even though it's
12 crude study to your attention was because it hadn't dog
13 data and it, you, know some other thick even though
14 its -- you know, it's not the strongest data in the world.

15 But when you start to see -- it's kind of like
16 the corollary of your table, your semi-qualitative table
17 of the Ziegler data. When you start to see the same
18 effects across multiple species, it makes your index a
19 suspicion or stronger that you're seeing a pattern. Is
20 that fair enough?

21 OEHHA STAFF TOXICOLOGIST DODGE: Yeah. We could
22 create a section that sort of summarizes the animal data
23 in a table.

24 PANEL MEMBER BLANC: And I'd put in the human
25 effects where you have them there.

1 OEHHA STAFF TOXICOLOGIST DODGE: Okay.

2 PANEL MEMBER GLANTZ: I would actually put that
3 near the beginning, because it would make it easier to
4 kind of work your way through the report.

5 PANEL MEMBER BLANC: Wherever you decide from my
6 point of view. It's hard to put a table like that before
7 you've actually presented the data, that's why sometimes
8 doing it as a summary is sometimes good.

9 But in any event, I know that Dr. Froines has his
10 feelings about the interpretation of the data, the animal
11 data, in terms of the nasal and laryngeal effects. From
12 my point of view, because I view the effects on the larynx
13 as being deeper down, and therefore a bit more indicative
14 of a concerning end organ, I do not find a problem with
15 using your cutoff for a yes-no for that effect as being
16 minimal or above. Whereas, for the nasal respiratory and
17 nasal olfactory, you use a cutoff of above that, because
18 there appears to be in the controls such a baseline
19 effect.

20 So, to me, I think that's acceptable, and I made
21 my comments before about the -- in your -- I think you
22 countered that what you would do is in the appendix
23 provide additional detail on what the derived benchmarks
24 would look like were you to use the others with presenting
25 all three types of models, so you'd have nine rows

1 essentially. I think that would be good for transparency.

2 CHAIRPERSON FROINES: Well, since you raised my
3 name --

4 PANEL MEMBER BLANC: Yeah.

5 CHAIRPERSON FROINES: -- my question has to do
6 with consistency as you look at that Table 5, and whether
7 or not we're -- how -- what kind of criteria we should use
8 and should we have what does slight mean, what does
9 minimum mean, because it gets used differently in
10 different places. And that concerns me insofar as should
11 there be consistency of approach, and what are the
12 implications of that. You understand?

13 PANEL MEMBER BLANC: Yeah. And so I would say
14 that perhaps a way of addressing that, aside from
15 presenting the appendix data that we talked about, would
16 be to make sure that your text explicitly states 2
17 rationales for that.

18 One is I think the one that you stated, which is
19 that there's such an effect in the referent group, if you
20 use a cutoff, including slight, that the data would not be
21 interpretable. And you argue that it's an age effect.
22 I'm not sure if you have outside data to show that that's
23 what it is that has -- at time zero, they wouldn't have
24 had that. But I think the second rationale is more
25 convincing or as convincing to me is that any change in

1 the larynx may have more health implications.

2 CHAIRPERSON FROINES: Well, part of the problem
3 is we're stuck with these -- these minimal, slight,
4 moderate, moderately severe. And here, we find with the
5 laryngeal, and I agree with you about the physiology, that
6 we see minimal changes at 24 and nothing with slight
7 changes at 24, and nothing at 70, in fact, under slight.

8 And so I'm just concerned about the consistency
9 of what -- how do we deal with what does minimal and
10 slight mean, because slight theoretically is a greater
11 level of severity. Whereas, minimal is a lower level.
12 And so it's the inconsistency that's concerning me.

13 PANEL MEMBER BLANC: And maybe a way of
14 addressing that is just in your text to -- if it's not
15 record there and I might have just missed it, what it is
16 that the author -- this is Reinhold, right?

17 CHAIRPERSON FROINES: Yeah.

18 PANEL MEMBER BLANC: -- what the author -- how
19 the author defined those terms in each organ, and the ways
20 in which -- sort of how high his threshold was. Was he
21 calling minimum something that we would take seriously,
22 even though he used the word minimal? So you're talking
23 about, you know, squamous metaplastic changes. I'm
24 assuming that that's not just in the 8 slightly exposed
25 animals.

1 OEHHA SUPERVISING TOXICOLOGIST MARTY: I think --
2 let me turn it around for a second.

3 PANEL MEMBER BLANC: Slightly -- not slightly
4 exposed, slight --

5 OEHHA SUPERVISING TOXICOLOGIST MARTY: What we're
6 looking at is treatment-related changes.

7 PANEL MEMBER GLANTZ: Is what?

8 OEHHA SUPERVISING TOXICOLOGIST MARTY: Treatment
9 related changes.

10 PANEL MEMBER BLANC: Exposure related?

11 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah,
12 exposure related changes. And I think even though -- if
13 you have no change, for example, in the larynx and the
14 controls, and you have minimal/slight change in the low
15 dose group, or whatever treatment group, then that is a
16 treatment related change. That's what we're looking at.

17 I think arguing over what's minimal versus what's
18 slight versus -- is just red herring.

19 PANEL MEMBER BLANC: Well, it's not necessarily a
20 red herring if the author was overly conservative in how
21 he used the terminology. So I'm only making an argument
22 that supports your interpretation of the data and the way
23 you do. So if he's saying metaplastic changes are slight
24 because he has some explanation that it's -- he views
25 metaplasia as adaptive, we don't care what his -- I'm

1 trying to separate out his results from his discussion.

2 CHAIRPERSON FROINES: I want to -- Melanie, you
3 need to do more, because I don't think it's a red herring.
4 I think the consistency, or lack thereof, is an issue
5 throughout this Table 5. So I've said my peace.

6 And what I want to do now is stop you if -- on
7 this topic, are there other people who have comments?

8 PANEL MEMBER BLANC: Just like we did before.

9 PANEL MEMBER GILL: Just as a clarification, the
10 analysis of the data that's done in Table 5 ADCR analysis
11 or it is what is reported by the author as such.

12 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, it's a
13 modification of a table that's in the paper.

14 PANEL MEMBER GILL: So when use the terms,
15 therefore it is your interpretation of what is in the
16 data?

17 OEHHA SUPERVISING TOXICOLOGIST MARTY: It's
18 what's in the table

19 OEHHA STAFF TOXICOLOGIST DODGE: Well, this is
20 what's in the table in the public data.

21 PANEL MEMBER GILL: The exact terms that I used
22 in the Table 5 are the exact terms in the paper?

23 OEHHA STAFF TOXICOLOGIST DODGE: Oh, yes, in
24 terms of the gradings minimal, slight, moderate.

25 PANEL MEMBER GILL: Because the terms tend to be

1 used differently in different publications. So at the
2 bottom of the table, it may be nice to annotate exactly
3 what is implied by the author, so it's not your
4 interpretation, so it becomes more precise information in
5 the document, as to where the terminology comes, because
6 it may be different in different tables. And so that
7 interpretation becomes very consistent throughout as a
8 consequence.

9 PANEL MEMBER BLANC: Just to add to that. I
10 think there are places in the document, but this is one of
11 the clearest ones where the targeted use of quotation
12 marks would make it clear when it is you're just saying
13 what the author said or what term the author used, versus
14 a more generally accepted terminology. That's just
15 emphasis -- I'm just amplifying what you just said.

16 PANEL MEMBER GILL: So it becomes clearer exactly
17 as to the origins of the terms.

18 OEHHA STAFF TOXICOLOGIST DODGE: Okay.

19 PANEL MEMBER BLANC: Who's here a pathologist?

20 CHAIRPERSON FROINES: Nobody.

21 PANEL MEMBER BLANC: Alan, you don't do pathology
22 at all?

23 PANEL MEMBER BUCKPITT: (Shakes head.)

24 CHAIRPERSON FROINES: Alan?

25 PANEL MEMBER BUCKPITT: You're looking at the

1 wrong guy.

2 PANEL MEMBER BLANC: Well, also, I mean -- I know
3 you've attempted -- actually, you've attempted to do what
4 Dr. Gill said in a way, because you have these footnotes
5 that say goblet cell hyperplasia. But that's only saying
6 the generic endpoint, but it doesn't tell us what then,
7 you know, minimal is a touch of goblet cell hyperplasia or
8 it means that less than 25 percent of the cells show
9 goblet cell hyperplasia?

10 OEHHA STAFF TOXICOLOGIST DODGE: Yes. I wondered
11 the same thing. It's the pathologist's interpretation.
12 You know, it could be different from one pathologist to
13 another. But the paper doesn't really specify what is
14 exactly meant by each grade level there.

15 PANEL MEMBER BLANC: It's just the endpoints. So
16 therefore for laryngeal tissue, the endpoint is
17 metaplasia, but for nasal mucosa, it's intracytoplasmic
18 eosinophilic material. Whereas, for the nasal respiratory
19 mucosa, it's goblet cell hypertrophy or hyperplasia,
20 right, that's correct?

21 OEHHA STAFF TOXICOLOGIST DODGE: Um-hmm.

22 PANEL MEMBER BLANC: So I think I would then try
23 to -- maybe I'll be a bit more definitive in my statement.
24 I would say that minimal metaplasia trumps slight
25 eosinophilic conclusions, if I'm thinking about it from a

1 adverse health endpoint perspective.

2 So I think that, although you're not -- it may be
3 inconsistent with the terminology that the author has
4 used, in terms of what he calls slight versus minimal. In
5 fact, it's hard to make an argument that -- I would -- let
6 me put it in the positive way, I think it's perfectly
7 reasonable to say that you're going to use any kind of
8 metaplasia minimal or more as a reasonable threshold for
9 saying positive, but you're going to be taking slight or
10 more eosinophilic conclusions as being something that you
11 can hang your hat on. Even though that's inconsistent
12 with the terminology of the author across the endpoints,
13 it's more consistent with a reasonable pathologic public
14 health endpoint.

15 CHAIRPERSON FROINES: Paul, can I just say one
16 more thing about my concern about this?

17 On Table 6 you have nasal respiratory mucosa, and
18 you only include moderate. Then you go to nasal
19 olfactory, and you include slight. And then you go to
20 laryngeal and you include minimal and slight. And that
21 concerns me, because there's not a consistent approach to
22 the pathology, and so I don't know what to make of --
23 obviously, you're making a pathologic judgment. And I
24 don't see how we can do that.

25 OEHHA STAFF TOXICOLOGIST DODGE: Well, I'm trying

1 to show you the effects caused by caprolactam exposure,
2 over and above the minimal or slight effects that occur in
3 the controls.

4 PANEL MEMBER BLANC: Well, I think --

5 OEHHA SUPERVISING TOXICOLOGIST MARTY: In other
6 words, those were the treatment related effects seen in
7 those regions of the nasal laryngeal --

8 CHAIRPERSON FROINES: But what I'm saying is you
9 choose different treatment related effects in here.
10 You're not consistent.

11 OEHHA SUPERVISING TOXICOLOGIST MARTY: It's
12 because that's what was observed to be treatment related.
13 It doesn't matter whether it's consistent from one region
14 to the other. You have different background histology in
15 those regions with the age of the rodent in the control.

16 So what we did was say okay, if, for example,
17 nasal respiratory mucosa, you did see minimal and slight
18 changes in the control group, but you didn't see any
19 moderate changes in that region. You did see that in the
20 treated group. So we're focusing on the treatment related
21 changes by doing that.

22 CHAIRPERSON FROINES: Okay. Paul.

23 PANEL MEMBER BLANC: So I think maybe -- maybe
24 Table 6 is more complicated than it needs to be, because
25 doesn't Table 6 actually for the -- not for the

1 recovery -- at 4 week recovery, doesn't it just reiterate
2 what's in the previous table in summary form?

3 CHAIRPERSON FROINES: Not really.

4 OEHHA STAFF TOXICOLOGIST DODGE: We didn't use
5 the 4 week recovery information in Table 5.

6 PANEL MEMBER BLANC: No. No. I'm saying the
7 lines -- the rows that -- not of the recovery but of just
8 the effect. It's just summarizing what's in the previous
9 table, right?

10 OEHHA STAFF TOXICOLOGIST DODGE: I'm sorry, could
11 you repeat that?

12 PANEL MEMBER BLANC: The rows which don't talk
13 about status at 4 week recovery, but just the baseline
14 status, are reiterating the data, which is in the previous
15 table.

16 OEHHA STAFF TOXICOLOGIST DODGE: Yes.

17 PANEL MEMBER BLANC: So why not just get rid of
18 those lines, the four week --

19 OEHHA STAFF TOXICOLOGIST DODGE: The 4 week
20 recovery line rows?

21 PANEL MEMBER BLANC: No. Those you haven't
22 presented before, no.

23 OEHHA STAFF TOXICOLOGIST DODGE: No, I haven't.

24 PANEL MEMBER BLANC: No. the other data. The
25 data that you have presented already.

1 OEHHA STAFF TOXICOLOGIST DODGE: Okay.

2 PANEL MEMBER BLANC: And then you would have
3 much -- because it is, at first glimpse, a little
4 confusing, because you're repeating, right? Am I not --
5 Melanie, do you understand what I'm saying?

6 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah, I
7 know what you're saying. So I think what we need to do is
8 read his State clearly what incidents data were used in
9 the benchmark concentration analysis? Which is in here,
10 but it's not the 4 week recovery data.

11 PANEL MEMBER BLANC: Right.

12 OEHHA SUPERVISING TOXICOLOGIST MARTY: And then
13 how we arrived at those incidence data from the data in
14 Table 5, which is the data reported by the State
15 Auditor's --

16 PANEL MEMBER BLANC: And you could probably
17 delete the rows that are --

18 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah.

19 PANEL MEMBER BLANC: And if you wanted, you could
20 probably move that whole table and its discussion into the
21 appendix, for all I care. It doesn't --

22 OEHHA SUPERVISING TOXICOLOGIST MARTY: I can't
23 remember who asked us to put this in the last time.

24 PANEL MEMBER BLANC: Well, but it could be in the
25 appendix. It wasn't me.

1 CHAIRPERSON FROINES: I don't think it belongs in
2 an appendix.

3 OEHHA SUPERVISING TOXICOLOGIST MARTY: I think
4 it's important to have what incidence data we used.

5 CHAIRPERSON FROINES: I think it's important that
6 people understand -- I mean if you take a person off the
7 street and you look at the inconsistencies, you're
8 saying -- the average person would say, this data is all
9 over the map, and you need to make sure people understand
10 what you've actually done. So I don't think it's an
11 appendix. For me anyway, it's a crucial piece of
12 information. And I'd like to see that actually defined
13 relative to the pathology.

14 OEHHA STAFF TOXICOLOGIST DODGE: Yes, I agree.
15 And I attempted to try and clear it up, but apparently I
16 haven't quite got there yet.

17 OEHHA SUPERVISING TOXICOLOGIST MARTY: It's easy
18 to know what you did when you did it.

19 CHAIRPERSON FROINES: That's a Yogi Berra-ism.

20 PANEL MEMBER BLANC: So I think that -- I think
21 that those are my substantive comments. Again, I think
22 that the inclusion of a lot more data where it's available
23 is a strength and conclusion of some of the details of the
24 studies that you have done is a strength. I think that
25 you've heard the consensus view of the Panel, in terms of

1 the comments on Table 3. I'm not asking you to go back
2 and redo -- reinsert an acute reference value, unless you
3 get the raw data, but to include in the appendix a
4 calculation of what a 5 milligram per cubic meter low
5 effect level would look like.

6 And similarly to include in the appendix material
7 the nine calculations of benchmark with the other data
8 using the three models for each and showing what those
9 look like.

10 OEHHA SUPERVISING TOXICOLOGIST MARTY: It's
11 actually more like six or seven models.

12 OEHHA STAFF TOXICOLOGIST DODGE: At least, yeah.

13 OEHHA SUPERVISING TOXICOLOGIST MARTY: Each.
14 That's okay. We'll put it in.

15 PANEL MEMBER BLANC: Well, whatever it is, you
16 figure out a way to present it that is -- can be looked
17 at.

18 CHAIRPERSON FROINES: Paul, are you --

19 PANEL MEMBER BLANC: I just would resummarize the
20 basic points just to make the record clear.

21 To take out the language which appears in various
22 places in document, which is speculative and could be
23 misinterpreted as back-pedaling to move the human data on
24 sensitization earlier in the document when you're talking
25 about human data. And be cautious there in your wording.

1 To provide a table that looks across species for endpoint
2 organ sensitivity or target organ data essentially is what
3 I'm asking for.

4 And that, I think, summarizes my comments. And I
5 don't know whether I actually got to -- oh, and also the
6 point about neurological target toxicity, which I think
7 will come forward in that table we've discussed, but as a
8 rationale also for children's sensitivity.

9 CHAIRPERSON FROINES: We'll come to Jesús.

10 PANEL MEMBER ARAUJO: Yeah. I agree with pretty
11 much all the points that you made. But I would like to
12 add some --

13 CHAIRPERSON FROINES: Before you start, can I
14 make -- do one final finishing comment for Paul.

15 Jay Murray who's here is, I'll quote part of his
16 comments, which is, "The minimal clinical effects observed
17 in the Reinhold, et al., 1998 study represent adaptive
18 changes not adverse effects. Based on what you've said
19 thus far this morning, I would assume that you basically
20 have concluded that they do represent adverse effects.
21 And so I'd like that to be on the record.

22 PANEL MEMBER BLANC: Yes, I mean. I agree with
23 the statement that was made by OEHHA in general and is not
24 so specific even to this particular chemical that we do
25 not presume that adverse effects that are seen in human or

1 animal studies are, in some way, adaptive and that
2 labeling such a response as adaptive means that it's not
3 an adverse endpoint. And that would be inconsistent with
4 the approach that OEHHA has used throughout. It's
5 history, which this Committee has always been found to
6 have a good scientific basis.

7 In fact, I think that the -- overall the input
8 from the industry stakeholders can be divided into two
9 types of comments. Many of them are generic comments
10 about processes, procedures, approaches, and assumptions
11 that are used by OEHHA and risk assessment, for which
12 there's precedent and for which there's no basis to go
13 away from established practices, procedures, and
14 precedent.

15 And then the second group of comments pertain to
16 much more specific questions about the data at hand. And
17 my interpretation of the comments made by OEHHA, both in
18 their original set of responses to the first round of
19 comments and then to these is that they've acceptably
20 addressed those more specific ones as well.

21 CHAIRPERSON FROINES: Are there any comments
22 about what Paul just said?

23 Okay Jesús.

24 PANEL MEMBER ARAUJO: Yeah. So I would like to
25 add some points in relation to the acute toxicology or

1 acute neurological effects, given that these are likely
2 the most severe adverse effects that are being reported.

3 So number one, and so you mentioned that there is
4 only one case report and this case report is from Tuma,
5 and published in the Archives of Internal Medicine in
6 1981. I haven't read the article. I'm only having access
7 to the abstract.

8 And unfortunately, there doesn't seem to be
9 biochemical data, in terms of the levels of caprolactam
10 that were in the blood. I don't know if you had access to
11 the full article and whether that information wasn't
12 there. And from what you are describing in the text, that
13 it appears as this could be related to a large dose that
14 this patient was exposed to.

15 But we don't have that information for certain.
16 And we don't know if this is due to a large exposure or if
17 it is due to idiosyncratic reaction and hypersensitivity
18 reaction.

19 But more importantly, I found another case report
20 that is in another journal -- in a journal that I couldn't
21 find in PubMed, so it's in the Korean Journal of
22 Occupational Environmental Medicine. And the description
23 of the case is very similar to the description given by
24 Tuma.

25 And I will give you the full reference. It's

1 published in 1998. And the full reference is 10 -- Issue
2 number 10, and page 116 --

3 PANEL MEMBER BLANC: Volume number 10.

4 PANEL MEMBER ARAUJO: Or volume number 10 and
5 page 116 to 120. One of the problems is that it appears
6 to be in Korean. Again, I don't have access to the full
7 article, only to the abstract, but the abstract is in
8 English. And I will read it because the description is so
9 similar to the other one.

10 "Two young men were seen with nausea,
11 vomiting, dermatitis, seizure after two to four
12 days of occupational exposure to caprolactam, a
13 nylon fiber precursor. There were no significant
14 results in laboratory test, brain CT, EEG except
15 leukocytosis, hyperglycemia. Caprolactam has been
16 shown to induce convulsive disorder in
17 experimental animal studios and Tuma et al (1981)
18 described that one worker acutely exposed to
19 caprolactam developed generalized tonic-clonic
20 seizure with leukocytosis. The coincidence of
21 typical skin lesion with otherwise unexplained
22 generalized tonic-clonic seizure in those young
23 man strongly suggests that caprolactam was a
24 causal agent."

25 So I believe that even though it is in a non --

1 or in a journal that is not found in PubMed, given the
2 importance of the effect, I think that probably we should
3 try to locate the article and have it translated and see
4 exactly -- see whether more useful information can be
5 derived from here.

6 But given the coincidence in between these two
7 case reports and that are 17 years apart, I think that it
8 is an effect and that maybe important to take into
9 consideration, certainly to document in this document.

10 The second point in relation to the same issue is
11 about the animal toxicological data. So you mentioned
12 about the -- that this convulsive -- or seizures also
13 occur in dogs after large doses by gavage. And we do a
14 percentage -- you also mentioned that in other animals
15 when the dose was given intravenously or intraperitoneal.

16 But I don't know if I missed it in the document,
17 but I didn't see references of these intravenous and
18 intraperitoneal administrations. Maybe I missed it, but
19 if I didn't miss it and it's not in there, and indeed that
20 is the case, I think that it should be also included in
21 the document.

22 Because this raises an important question that
23 goes back to my initial consideration. Are these effects
24 due to very large doses and very high levels of
25 caprolactam in the blood or are these affects due to

1 idiosyncratic and hyper -- and reactions and due to
2 hypersensitivity?

3 If it is the first case, the coincidence that you
4 have with dermatitis and seizures makes you wonder whether
5 it is that either the dermatitis is due to the
6 caprolactam, the dermatitis could be increasing the
7 bioviability and the absorption of the compound. So maybe
8 those could be a propensity to have very high levels of
9 caprolactam and develop the neurological symptoms and
10 problem.

11 So it would be important, because people with
12 dermatitis could be advised not to work or to be -- or,
13 yeah, to be dismissed or not to work during -- or until
14 the dermatitis is resolved.

15 So that's one point I wanted to make.

16 And the other has to do with the conversations
17 and considerations that you were having about the Table
18 number 5.

19 PANEL MEMBER HAMMOND: May I ask a question?

20 PANEL MEMBER ARAUJO: Sure.

21 PANEL MEMBER HAMMOND: Perhaps a naive question.
22 But if the question -- if what you were saying was that
23 the dermatitis could increase the dermal absorption, could
24 that also mean that for a child that has a skin rash, you
25 know, or a cut crawling on a carpet that that would be an

1 issue?

2 PANEL MEMBER ARAUJO: Absolutely.

3 PANEL MEMBER HAMMOND: So that there's a
4 non-occupational way to translate that too to children.

5 PANEL MEMBER ARAUJO: Yes.

6 PANEL MEMBER HAMMOND: And children often have
7 cuts and bruises.

8 PANEL MEMBER ARAUJO: Yes or any condition that
9 increases the dermal absorption. So it could be -- but
10 the problem is that we don't really have data to
11 distinguish in between different possibilities. But until
12 we have the data and given the importance of the effect, I
13 think that it would be relevant to mention it and to raise
14 it as a possibility.

15 So the other has to do with Table number 5. And
16 I understand the point that John is making about that it
17 doesn't really make much sense and the lack of correlation
18 or continuity in the different categories. On the other
19 hand, I think that what you're trying to do is just
20 combine the data from the different tables that are
21 presented in the publication.

22 So I did look at the publication and look at the
23 data that you're compiling. And I don't know if I am
24 making my numbers right or wrong, but some of the numbers
25 don't really quite fit the numbers that you have in the

1 data, in the Table. I don't want to go in excruciating
2 detail. Maybe we can go after and I can show you some of
3 the places where I'm finding inconsistencies.

4 But what I'm saying -- what I -- I understand
5 what I think that you did is that you combined the data
6 for the males and females in each one of the exposure
7 groups.

8 OEHHA STAFF TOXICOLOGIST DODGE: Yes.

9 PANEL MEMBER ARAUJO: And I'm adding up some of
10 the numbers and they don't really coincide with the
11 numbers in here. So I think that -- I don't know if
12 that's going to resolve some of the inconsistencies, but
13 rather than just present that it's inconsistent or not,
14 this is -- data is data, and this is as much as you have
15 from the paper. And I think that as well as you present
16 in your legend and the true descriptions and what it's
17 presented in the paper, I think that that's -- that should
18 be okay.

19 Another note, and I don't know if this would be
20 important, but in the table, in the description of the
21 incidence of nasal mucosa olfactory, which is your Part C
22 of the middle table, it says you're mentioning
23 intracytoplasmic eosinophilic material, they're actually
24 describing in the table as epithelium intracytoplasmic.

25 I'm not a pathologist. Although, I have been

1 appointed in the pathology section.

2 PANEL MEMBER BLANC: Ah-ha, so you admit it.

3 (Laughter.)

4 PANEL MEMBER ARAUJO: I'm not really a
5 pathologist, so I cannot really weigh in on these issues.
6 But what I would invite is just to present it as it was
7 presented in the original publication --

8 OEHHA STAFF TOXICOLOGIST DODGE: Okay, right.

9 PANEL MEMBER ARAUJO: -- so it gets in with the
10 description. Epithelium intracytoplasmic --

11 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, right.

12 PANEL MEMBER ARAUJO: Because otherwise it is a
13 little bit bad, and intracytoplasmic where in what else?

14 OEHHA SUPERVISING TOXICOLOGIST MARTY: Okay.

15 PANEL MEMBER ARAUJO: And that's pretty much it.

16 PANEL MEMBER BLANC: You know, I would come back
17 to one other thing just to amplify this issue of the
18 neurologic endpoint. Elsewhere where you describe the
19 animal data, you talk about tremors, but not seizures.
20 But these were not studies where they did EEGs at the time
21 that they saw these tremors. So I think you have to at
22 least more explicitly say that it is certainly possible
23 that what was described as tremors was seizure activity.

24 OEHHA STAFF TOXICOLOGIST DODGE: Okay.

25 PANEL MEMBER BLANC: I mean a tremulous mouse

1 could be a seizing mouse until proven otherwise, right? I
2 mean, just -- again, it's just -- it's really amplifying
3 your comment.

4 And one other thing about the similarities
5 between the two case reports. Looking at the abstracts,
6 these were both -- their reports were also after two to
7 four days of working. So that's not a trivial corollary.
8 So that means that really the dermatitis, if it's related,
9 was simultaneous to the neurologic, not that they had
10 dermatitis for weeks, you know, and then -- the issue of
11 dose related versus idiosyncratic is important, because to
12 the extent that it's an idiosyncratic response that will
13 occur in a certain percentage of the population, these are
14 at-risk individuals, who will respond at levels which are
15 fairly low.

16 So it means that the argument that this is only
17 an effect that you see with massive exposure, and
18 therefore one could invoke a threshold explanation that
19 would imply that extrapolation to lower dose effects is
20 not relevant biologically, is not the case, if the
21 scenario of toxicity is an Idiosyncratic response, in
22 which an X percentage of the population is going to
23 respond at a fairly low level or could respond at a low
24 level of exposure. That's really the same issue with
25 contact dermatitis, and why the comment this must be a

1 very rare event.

2 Well, that's not really the issue. The issue is
3 if it's -- it may not be dose related in that sense, and
4 yet there may be a sensitive subset of the population.
5 Again, coming back to why you're using a safety factor
6 that's relevant for children.

7 CHAIRPERSON FROINES: I think that the comments
8 that you just made and Jesús made should go into the
9 document.

10 Jesús.

11 PANEL MEMBER ARAUJO: Yeah. Your comment of
12 extending and implicating that the tremors could actually
13 be a manifestation -- a neurological manifestation is very
14 well taken.

15 The case reports are about drastic effects. The
16 case reports were about grand mal generalized tonic-clonic
17 seizures. I mean, a major effect with loss of
18 consciousness and perhaps and -- so this could be an
19 effect that has been underestimated. And seizures can be
20 and can come in various different ways and in a whole
21 spectrum, from very mild, minimal, from things that can be
22 undistinguishable from a tremor as you mentioned, and to
23 the largest expression of it, which is the tonic-clonic
24 seizures.

25 And in animals, it may be quite difficult to

1 distinguish what is a partial seizure or what is an -- or
2 to distinguish what is a tremor versus an actual seizure.
3 So these could be more important than what we're seeing
4 just through the case reports.

5 PANEL MEMBER BLANC: John, there was something
6 that I forgot to ask about.

7 CHAIRPERSON FROINES: Go ahead.

8 PANEL MEMBER BLANC: You know, I thought this
9 part was rather interesting where you alluded to early in
10 the document about oligomers that can be present, so that
11 it's not just large polymeric Nylon-6 versus caprolactam
12 monomer.

13 Do you know whether the analytic methods that are
14 typically used to quantify caprolactam in samples, either
15 of air or dust, would fail to identify -- would only
16 identify monomeric caprolactam?

17 OEHHA STAFF TOXICOLOGIST DODGE: The methods that
18 they used, I think, it's a high pressure liquid
19 chromatography, yeah, can get separate peaks for various
20 oligomers or in caprolactam.

21 PANEL MEMBER BLANC: So the approved NIOSH method
22 for caprolactam is --

23 OEHHA STAFF TOXICOLOGIST DODGE: Would not pick
24 up these other --

25 PANEL MEMBER BLANC: Because that's not a high

1 pressure liquid chromatographic --

2 OEHHA STAFF TOXICOLOGIST DODGE: I'm not sure
3 what method they used there.

4 PANEL MEMBER BLANC: So I think it would be
5 useful to have a sentence or two that would say all of the
6 things we're talking about are unlikely to have included,
7 the health -- or we do not know anything about the health
8 effects of the oligomers. And many of the studies
9 would -- we have no way to know whether they're
10 extrapolable or not.

11 Just some caveat like that, because it's sort of
12 a black box. And it may have public health significance
13 that we don't know about. I think you indicate that it's
14 not an insubstantial proportion relative to the
15 caprolactam monomer, right, that's present.

16 OEHHA STAFF TOXICOLOGIST DODGE: Right. The
17 monomer seems to be the most prevalent that's there. But
18 yeah, when you add up all the other products of
19 caprolactam that could be there, yeah, it does add up.

20 PANEL MEMBER BLANC: So think that that's sort of
21 alluded to you, but then there's never any follow through
22 on it. And I think it's important to get it out there a
23 little bit more explicitly.

24 And finally one other point I meant to ask and I
25 forgot to. In your list of uses, the bullets on page 3,

1 is followed by a paragraph of discussion. And generally
2 speaking, the paragraph is consistent with the bullets,
3 except for one thing and that's tire cord. I always
4 thought that it was Nylon-6,6 that was the predominant
5 nylon that was used in tire cord, but I could be
6 completely wrong about that. Are you sure about that
7 bullet?

8 OEHHA STAFF TOXICOLOGIST DODGE: That's -- I got
9 that from the Nylon-6 website, the Nylon-6 group. I
10 forget what it is. I reference it in there, yeah. But
11 they claim tire cord is one of the uses. I don't know
12 if -- it's quite possible that both Nylon-6,6 and Nylon-6
13 are used for tire cord.

14 PANEL MEMBER BLANC: Because then it's not in the
15 narrative that follows, so that's why I was asking. You
16 know, you have nice examples of all the rest mainly. I
17 mean, if 75 percent is used in fibers, textile, industrial
18 carpet and 25 percent for plastics, I don't know, I guess
19 the fibers could include the fibers used in tire cord, but
20 I -- just double check that, so that you feel comfortable
21 with it. Because if tire cord is like a trivial and very
22 uncommon usage, you might not want to -- you know, if 99
23 percent of the nylon that's used in tire cord is
24 Nylon-6,6, don't -- you know then delete it, because it
25 only makes it sound like we don't know what we're saying.

1 And I do think, by the way, that the
2 clarifications that you made on particulate versus --
3 solid particulate versus aerosol versus vapor was useful.

4 OEHHA STAFF TOXICOLOGIST DODGE: Thank you.

5 CHAIRPERSON FROINES: Are you finished, Jesús?

6 PANEL MEMBER ARAUJO: Coincidentally, I had one
7 paper where they approached the point that you just
8 mentioned about the monomers versus the polymers. It is
9 an article in Biomaterials and that was not cited in this
10 document, in 2005. And they look at the cytotoxicity
11 of -- in various polymers by LDH assay and MTT assay. And
12 they found that the monomers are much -- have much greater
13 toxicity than the corresponding polymers.

14 But rather than just summarizing, I can also give
15 you the reference. I know you feel --

16 OEHHA STAFF TOXICOLOGIST DODGE: Yes, thank you.

17 PANEL MEMBER BLANC: How long were the chains of
18 the...

19 PANEL MEMBER ARAUJO: We can look at the paper
20 quickly. Well, actually quite significant changes.

21 PANEL MEMBER BLANC: I mean, how long were the --
22 I mean how long were they? Were these oligomers or really
23 big chain polymers?

24 PANEL MEMBER ARAUJO: Oh, I see. I thought that
25 you were asking how significant were they?

1 PANEL MEMBER BLANC: See, there's these oligomers
2 of you know two or three molecules, and that's going to be
3 pretty different than, you know -- not that they shouldn't
4 cite this paper, but I'm just saying.

5 PANEL MEMBER ARAUJO: I'm sure that within this
6 paper I will be able to find out.

7 OEHHA SUPERVISING TOXICOLOGIST MARTY: We'll look
8 at it.

9 PANEL MEMBER GILL: When you look at it, the free
10 amine should actually have the greatest toxicity, if you
11 look at the structure. Did you look at the free amine
12 itself? It should be a precursor to the caprolactam?

13 OEHHA STAFF TOXICOLOGIST DODGE: I'm sorry.

14 PANEL MEMBER GILL: The free amine. Do you have
15 any information on the toxicity of that compound, because
16 that's what a precursor should be.

17 OEHHA STAFF TOXICOLOGIST DODGE: No, I don't.

18 CHAIRPERSON FROINES: Jesús, are you finished?

19 PANEL MEMBER ARAUJO: Yes, I'm finished. Sorry.

20 CHAIRPERSON FROINES: Okay. Kathy is leaving at
21 1 o'clock, so why don't we move to Kathy and see if she
22 has any comments, because -- but we should probably break
23 about 12:30 for lunch.

24 PANEL MEMBER HAMMOND: I don't have any comments.

25 CHAIRPERSON FROINES: What?

1 PANEL MEMBER HAMMOND: I don't have any comments
2 at this point.

3 CHAIRPERSON FROINES: So jumping -- since we're
4 talking about exposure, Bill, do you have comments.

5 PANEL MEMBER NAZAROFF: Just a few.

6 So, first, I conveyed a few comments to Melanie
7 in response to receiving the document. And I just want to
8 reiterate the key points from that. I thought overall the
9 exposure -- the response to the exposure critique or the
10 critiques about the exposure aspect was handled quite
11 well. And I'm satisfied, at this point, with how the
12 issue of gas versus aerosol, and how that relates to
13 exposure is described in the revised document. Thank you
14 for those changes and that responsiveness.

15 There are some -- this is a minor point, but some
16 places in the document where references to tables are
17 inaccurate, so that needs to be checked to be sure that
18 everything is internally consistent.

19 I had raised the point that was addressed in the
20 presentation, appropriately I think, about rounding. And
21 just to get this on the record, it doesn't make any sense
22 to me to, if one is rounding to one significant figure to
23 apply that rule when one and most commonly usefully as
24 well too, is the first significant figure, because there's
25 considerable ambiguity and much larger error when that

1 first digit is one inherently.

2 So what I had recommended, and I think you've
3 responded to in a way that is consistent with that, is if
4 the first digit is a 1 or 2, you use two significant
5 figures and then use 1 significant figure for the rest,
6 and that keeps the error scale roughly commensurate across
7 the different numbers. It's the 1.5 significant figure
8 rule.

9 PANEL MEMBER HAMMOND: I never heard that rule
10 and I --

11 PANEL MEMBER NAZAROFF: There's no rule. I made
12 it up.

13 (Laughter.)

14 PANEL MEMBER NAZAROFF: It's completely logical.

15 (Laughter.)

16 PANEL MEMBER GLANTZ: It's the Nazaroff rule.

17 PANEL MEMBER HAMMOND: It makes really good
18 sense. I mean -- but I've just never seen that before.
19 No, I think it's a great idea.

20 PANEL MEMBER NAZAROFF: So then the last thing,
21 and this is also pretty picky, but as long as we're here,
22 on the poster where they have sort of America's most hated
23 units, there's a special entry there for millimeters of
24 mercury for pressure.

25 It just is -- you know, if you want to be clear

1 in communication, you don't use a height of a particular
2 fluid as a way to express a pressure. I mean, I know what
3 it means, but it's like -- it's not good scientific
4 communication. We should express pressure units in their
5 fundamental basis, which is force per unit area. Pascal
6 is appropriate. Atmosphere is fine. A bar, if you want
7 to use a bar is okay.

8 PANEL MEMBER HAMMOND: Torr?

9 PANEL MEMBER NAZAROFF: A Torr is okay. My
10 preference Pascal. Millimeters of mercury no. Really,
11 it --

12 PANEL MEMBER BLANC: How about as a compromise
13 that they put one or the other in parentheses, because I
14 have to tell you that most of the available tables that
15 health professionals and safety have, have these kind of
16 units. And so it will be obscure and inaccessible if they
17 do only what you say.

18 PANEL MEMBER NAZAROFF: I will accept the
19 compromise. A transitional period is acceptable, but
20 we're on our way to having units mean what they mean and
21 measuring in the right sets of dimensions inherently.

22 That's all. Thank you.

23 CHAIRPERSON FROINES: Ellen.

24 PANEL MEMBER EISEN: No, I don't have any
25 comments.

1 CHAIRPERSON FROINES: Okay.

2 Stan.

3 PANEL MEMBER GLANTZ: I don't have anything more.

4 CHAIRPERSON FROINES: Sarjeet?

5 PANEL MEMBER GILL: Nothing more.

6 PANEL MEMBER BUCKPITT: Nothing more.

7 CHAIRPERSON FROINES: My goodness. You really
8 did a number.

9 PANEL MEMBER GLANTZ: You wore us all down.

10 (Laughter.)

11 CHAIRPERSON FROINES: So we've had --

12 PANEL MEMBER GLANTZ: That was a joke.

13 It was very thorough.

14 PANEL MEMBER BUCKPITT: Thorough.

15 PANEL MEMBER BLANC: You know where you talk at
16 the beginning, by the way, about sources of exposure in
17 the manufacturing of the Nylon-6, and then in the places
18 where it's been put down and all that kind of stuff and
19 recycling, which is all great. Obviously, another source
20 of exposure are the people who manufacture caprolactam in
21 the first place.

22 OEHHA STAFF TOXICOLOGIST DODGE: Right.

23 PANEL MEMBER BLANC: You might want to say that.

24 OEHHA STAFF TOXICOLOGIST DODGE: That's what I
25 meant by production of caprolactam. Yeah, I meant the

1 manufacture of the monomer.

2 PANEL MEMBER BLANC: I thought you meant the
3 manufacture of the nylon monomer.

4 OEHHA STAFF TOXICOLOGIST DODGE: In there too.

5 PANEL MEMBER BLANC: You say polymerization of
6 the monomer, but the step before the polymerization where
7 you make the monomer itself?

8 OEHHA STAFF TOXICOLOGIST DODGE: Um-hmm.

9 PANEL MEMBER BLANC: I take it there's no monomer
10 factory in California?

11 OEHHA SUPERVISING TOXICOLOGIST BLAISDELL: No.

12 PANEL MEMBER BLANC: Is there any polymerization
13 in California?

14 OEHHA STAFF TOXICOLOGIST DODGE: I found places,
15 yeah.

16 PANEL MEMBER BLANC: I mean, there are definitely
17 places that use the stuff -- I mean, that make -- that use
18 applications of it, but I'm just --

19 CHAIRPERSON FROINES: There is an application
20 that's coming on -- apparently coming on line with nylon,
21 where it is used in dry-cleaning. And that may be a
22 source of exposure in the future.

23 OEHHA SUPERVISING TOXICOLOGIST BLAISDELL:

24 There's likely to be --

25 OEHHA SUPERVISING TOXICOLOGIST MARTY:

1 Caprolactam as a dry-cleaning agent?

2 CHAIRPERSON FROINES: No, not caprolactam, but
3 caprolactam obviously with nylon you have the potential
4 for a monomer that's been in nylon.

5 OEHHA SUPERVISING TOXICOLOGIST MARTY: Getting
6 extracted?

7 CHAIRPERSON FROINES: Yes.

8 OEHHA SUPERVISING TOXICOLOGIST MARTY: I see.

9 PANEL MEMBER GLANTZ: So should we make a motion?

10 CHAIRPERSON FROINES: I think I want to ask a
11 question. I've already spoken at great length.

12 But in here you have the authors concluded that
13 the irritant response threshold for the workers is at
14 least or near 10 ppm. And that 5 ppm is 50 percent of the
15 discomfort threshold, and quote, "...somewhat below the no
16 effect level".

17 It seems to me that I have no idea where they got
18 that 50 percent number. It seems like it's somebody
19 taking it out of the sky, unless there's an experimental
20 basis for it, in which case, I would say that it's not
21 clear that the 5 part per million represents anything. I
22 don't think -- I think it -- it's somebody's opinion
23 rather than somebody's science, I think?

24 OEHHA STAFF TOXICOLOGIST DODGE: Yeah. That was
25 their opinion. And it was partly based on the fact that

1 they did, you know, time-weighted average, threshold
2 measurements of 8 hours. And, at one point, there was
3 exposure of 7 parts per million, and the worker didn't --

4 CHAIRPERSON FROINES: Well, I think it would be
5 worthwhile just to put in a sentence that says this is the
6 views of the authors and not necessarily the views of
7 OEHHA, because --

8 OEHHA STAFF TOXICOLOGIST DODGE: Okay. I'll
9 clear that up.

10 PANEL MEMBER BLANC: Back to that quotation marks
11 idea.

12 CHAIRPERSON FROINES: Yeah, because there are
13 quotation marks in here that there -- you actually put
14 quotation marks here, but you need your non-quotation mark
15 comment.

16 OEHHA STAFF TOXICOLOGIST DODGE: All right. I'll
17 clear that up.

18 CHAIRPERSON FROINES: I thought -- I'm still
19 confused about exposure, but I won't raise it here. I
20 don't think it's germane, but there's issues of the
21 polymer and various stages of the polymer, which
22 are -- would be an aerosol presumably -- also whether
23 we're talking at times about caprolactam absorbing onto
24 hair particles.

25 So I think that the issues of aerosols and fumes

1 and what have you is still an issue, but I can get back
2 with you on that.

3 I do think when you have -- talk about air
4 sampling, you talk about the process of sampling, where
5 you have the 3 flasks and the flasks are filled with water
6 and so on and so forth. But the analytical method is not
7 here, so nobody knows what the analytical method that was
8 used is.

9 OEHHA STAFF TOXICOLOGIST DODGE: Okay. I'll put
10 that in. It was a gas chromatograph.

11 CHAIRPERSON FROINES: Gas chromatography, because
12 you said a few minutes ago that they used HPLCs. I mean,
13 some used --

14 OEHHA STAFF TOXICOLOGIST DODGE: Later studies.

15 CHAIRPERSON FROINES: Later studies.

16 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, but these
17 older ones.

18 CHAIRPERSON FROINES: Later studies. Yeah, I
19 appreciate that. Just clarification as to the exposure
20 methodology.

21 PANEL MEMBER BLANC: So I see a little bit of a
22 complex issue here. I alluded to it before. There's two
23 pathways we could be going down. You know, tomorrow you
24 may get on the phone with Ziegler and he'll say I'm
25 sending you a disc with the data or, you know, tomorrow

1 nothing may change or this week or whatever.

2 So obviously, it doesn't make sense for us to
3 have a motion which tentatively accepts the document, but
4 we don't accept it if you get the new data and that we
5 would want to see what you do.

6 I think the more manageable approach would be to
7 say that -- I mean, I think that the Panel's comments
8 certainly document our overall acceptance with certain
9 changes of the approaches used, and certain additions and
10 new data that you've been given. But I would prefer not
11 to have a motion that is a tentative acceptance at this
12 time, bearing in mind that, at our next meeting, whichever
13 version you bring to us will probably not engender a
14 lengthy agenda discussion and can be dealt with at that
15 time, you know, fairly easily.

16 I know that that does prolong or put in another
17 round of comments from stakeholders, and so forth, because
18 they'll be of a version that will subsume this version one
19 way or the other, but I think that certainly in the
20 scenario that you do get the data in and are able to
21 generate an acute REL, then you certainly would want to
22 have an opportunity for that stakeholder comment. And in
23 the event that you don't, there probably is still enough
24 changes that it's not harmful to have such an opportunity
25 for comment.

1 CHAIRPERSON FROINES: I think that what's
2 happened here today is that there has been an apparent
3 general acceptance of the ideas in the document. But
4 we've asked for quite a few changes. And I think that the
5 magnitude of the changes makes it difficult to go in a
6 different direction than you just suggest, but it's up to
7 everybody, obviously.

8 PANEL MEMBER GLANTZ: Well, actually, I sort of
9 disagree. I think there's been a lot of comments, but I
10 have heard anything that makes me feel that there's a
11 fundamental problem with the document. I mean, all of the
12 comments I've heard have been -- except for this, if you
13 can get the data from Ziegler have all been, unless I'm
14 missing something, clarifications, improvements, things
15 like that. I don't see any of these things being
16 substantive changes to the document.

17 And I worry that this -- you know, we're dealing
18 with someone -- with this Ziegler data who's been very
19 uncooperative. And I'd just hate to see the process just
20 keep dragging on and on and more stakeholder feedback and
21 having to read it and then having to respond to it.

22 So I would much rather tentatively accept the
23 document, subject -- as we've done many times, you know,
24 and delegate to the Chair the ability to say the changes
25 have been made correctly, with the caveat that within a

1 reasonable length of time, you can get the raw data, then
2 we would hold open the one issue of the acute REL.

3 And if you're able to get the data and could get
4 an acute REL, then that one issue would be open for
5 public -- because I think if you do it, then it is
6 legitimate to have public comment on that. And then --

7 CHAIRPERSON FROINES: I'd like to disagree.

8 PANEL MEMBER GLANTZ: And we've done this before
9 on a couple of other documents, so that we can get the
10 rest of it and be done with it.

11 OEHHA SUPERVISING TOXICOLOGIST MARTY: Can I --

12 PANEL MEMBER GLANTZ: And just have that one
13 narrow question, if within -- you know, and I don't know
14 what a reasonable time is you could get the data, a couple
15 of weeks maybe, then we could approve the rest of the
16 document, bring that one issue back after public comment,
17 and then, fine. And if you can't get it within a couple
18 of weeks, I think we should just be done.

19 CHAIRPERSON FROINES: No, let me --

20 PANEL MEMBER GLANTZ: That's me. Alan, do you --

21 CHAIRPERSON FROINES: No, I'm going to. Our job
22 is to evaluate the science of the process in every
23 document we look at, and that includes the intellectual
24 elements, which has to do with the substance of the
25 document and the substance of the science. It also has to

1 do, however, with the presentation.

2 And we have made fairly extensive requests for
3 changes to the presentation. And I think that it's within
4 that criteria that I would argue that Paul is right, that
5 having the vote on acceptance when we haven't seen whether
6 or not the document meets our -- we're comfortable with
7 what is the end result, I think is important.

8 Melanie.

9 OEHHA SUPERVISING TOXICOLOGIST MARTY: I just
10 want to make a couple clarifications. First of all,
11 remember that the stakeholders can send you whatever they
12 want. It was -- that was not an open public comment
13 period. So we did not have an open public comment period.

14 If we get raw data and then can generate an acute
15 REL, we would have a public comment period on that. And
16 it can be a completely separate document. It doesn't have
17 to be this document redux, it can be its own document.

18 PANEL MEMBER GLANTZ: Well, then if that's the
19 case -- yeah, I agree with you about presentation, but I
20 still don't see the things that have been talked about
21 here as being a big deal. Maybe I'm misunderstanding
22 something.

23 So if that's the case, if you can get the data
24 for an acute REL and then just bring that forward as a
25 separate document or in a -- which would basically addend

1 or replace part of this one, then I'd still like to move
2 that we tentatively accept the document subject to, you
3 know, then making the Chair happy. If the Chair is
4 uncomfortable, you could always say I'm not happy and
5 bring it back to the Committee. But I just -- I agree
6 with the suggestions that have been made. I think they'll
7 improve the document. I just don't think they're that big
8 a deal.

9 CHAIRPERSON FROINES: I think -- speaking --

10 PANEL MEMBER GLANTZ: But if you're uncomfortable
11 that, then --

12 CHAIRPERSON FROINES: Speaking as the Chair, I'd
13 prefer that the Panel had a look at what the changes were,
14 and they can communicate that very briefly in the next
15 meeting and vote. We can do it in 10 minutes if it's --
16 unless there's a problem. I mean, so there's --

17 PANEL MEMBER BLANC: Yeah, I think it would help
18 me -- I mean, I was one of the leads, and, Stan, you were
19 de facto sort of another lead, so we clearly don't agree.
20 But we've always managed to come to consensus as a Panel.

21 PANEL MEMBER GLANTZ: Okay, if that's what people
22 feel, then that's okay.

23 CHAIRPERSON FROINES: Any other comments?

24 Shall we take a vote or is it --

25 PANEL MEMBER BLANC: I don't think there's

1 anything -- there's no motion to vote on.

2 PANEL MEMBER GLANTZ: Okay. Then I'll withdraw
3 my motion.

4 PANEL MEMBER NAZAROFF: Sort of yeah, it was a
5 hesitant motion.

6 (Laughter.)

7 PANEL MEMBER GLANTZ: I'll just withdraw my
8 motion, we we're all parliamentarily clean.

9 CHAIRPERSON FROINES: So should we break for
10 lunch?

11 PANEL MEMBER GLANTZ: I hope we can do this
12 quickly though to some --

13 CHAIRPERSON FROINES: No, obviously, we want to
14 do it quickly.

15 PANEL MEMBER BLANC: It's my commitment and my
16 comment was predicated on it being a rapid discussion,
17 presuming that there's not a new REL. And that if there's
18 a new REL, we would have to discuss that.

19 I'm happy to break for lunch. What time are you
20 proposing we reconvene?

21 CHAIRPERSON FROINES: I don't know where --
22 Peter, where do people eat around here.

23 MR. MATHEWS: Directly upstairs.

24 PANEL MEMBER GLANTZ: There's a Cafeteria.

25 CHAIRPERSON FROINES: So we can take 45 minutes,

1 do you think?

2 MR. MATHEWS: Easily.

3 PANEL MEMBER BLANC: So 1:15.

4 CHAIRPERSON FROINES: 1:15, and we'll do nickel.

5 (Thereupon a lunch break was taken.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 AFTERNOON SESSION

2 CHAIRPERSON FROINES: Okay. Melanie, are you
3 set?

4 (Thereupon an overhead presentation was
5 Presented as follows.)

6 OEHHA SUPERVISING TOXICOLOGIST MARTY: So this
7 afternoon, we'll start off with Dr. Joe Brown. Joe is
8 going to go over the nickel reference exposure levels, the
9 derivation, and some of the data behind it.

10 --o0o--

11 OEHHA STAFF TOXICOLOGIST BROWN: Okay. Just
12 we're talking about non-cancer RELs here. Just to remind
13 everybody -- can you hear me?

14 PANEL MEMBER BLANC: Yeah.

15 OEHHA STAFF TOXICOLOGIST BROWN: Okay. This
16 slide just summarizes our authority under the Hot Spots
17 Program Legislation and also the Children's Environmental
18 Health Protection Act of 1999. Those are the two main
19 laws that we're operating under. And those are the
20 mandates that we have.

21 --o0o--

22 OEHHA STAFF TOXICOLOGIST BROWN: Summary.
23 Nickel. Actually, more than Nickel (II), as Dr. Nazaroff
24 pointed out, causes a variety of non-carcinogenic toxic
25 effects, including occupational contact dermatitis,

1 tobacco smoke. And the question is, what's the situation
2 in terms of how many you can bring forward to the Panel
3 under SB 25?

4 OEHHA SUPERVISING TOXICOLOGIST MARTY: Okay, let
5 me answer that. This is Melanie Marty.

6 The way we interpret the statute is we were
7 limited to five only for the initial list. So we have
8 subsequently added several, through this process, of
9 looking at reference exposure levels for use in risk
10 assessment.

11 So in addition to the ETS, we've added acrolein,
12 acetaldehyde, formaldehyde, mercury, manganese, and
13 arsenic. And just a reminder, especially for those who
14 are not totally familiar with the air programs in
15 California, nickel is already identified as a toxic air
16 contaminant. We did a review of the -- primarily, the
17 carcinogenicity at the time. So we are not dealing with
18 carcinogenicity this time.

19 PANEL MEMBER BLANC: And is -- Melanie, just to
20 clarify. Is that true for every -- will that be true for
21 any carcinogen that you feel has significant
22 non-carcinogenic toxicity that you have to re-review it
23 for its non-carcinogenic effects?

24 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah.
25 It's actually -- this is actually being done under the Air

1 Toxics Hot Spots Program, which is a risk assessment
2 program that came into being well after the toxic air
3 contaminant program. So we're using that process to look
4 at the non-cancer health effects from a quantitative risk
5 assessment perspective.

6 But initially, way back when we first started the
7 TAC program, we focused on carcinogens. And obviously,
8 many carcinogens also have other types of toxic effects.
9 So there's very few actual TAC identification documents,
10 where we did a quantitative risk assessment for non-cancer
11 health effects, very few.

12 PANEL MEMBER BLANC: So do you anticipate this is
13 the first of a group that may come?

14 OEHHA SUPERVISING TOXICOLOGIST MARTY: Well, we
15 have looked at arsenic, which is a carcinogen in 2008, I
16 think it was. So we're just -- we're going along using
17 the prioritization that we did way back in 2001. If you
18 guys remember, there was a lot of chemicals that we looked
19 at, that kind of ranked towards the top, but didn't quite
20 hit the top five.

21 So we're going through those first. And we're
22 also looking at chemicals that the Air Board and the air
23 pollution control districts view as problematic for -- in
24 terms of emissions in their area.

25 PANEL MEMBER BLANC: Okay. Because, you know, I

1 know that when -- certainly, when I was reviewing your
2 overall more global ranking attempts, you know, my
3 impression was always that what we were trying to do there
4 was identify substances which we really hadn't looked at
5 for any endpoint, rather than going back to do non-cancer
6 health effects in something which already was a listed
7 toxic air contaminant.

8 And certainly, I don't want to try to speak for
9 others, just for myself, I think what I, you know, want to
10 see or make sure that we don't miss are substances which
11 we haven't dealt with at all for any endpoint. And at
12 least that once we have identified something as a toxic
13 air contaminant, there are certain things that flow out of
14 that, even if we haven't looked at all the endpoints.

15 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah.
16 Well, some of it -- the statute itself referred to
17 chemicals that were already identified as TACs.

18 PANEL MEMBER BLANC: For the Children's --

19 OEHHA SUPERVISING TOXICOLOGIST MARTY: For the
20 Children's list.

21 PANEL MEMBER BLANC: Right. That's where this is
22 coming.

23 OEHHA SUPERVISING TOXICOLOGIST MARTY: That's why
24 we're looking mostly at things that have already been
25 identified as TACs, but we're slipping in other --

1 PANEL MEMBER BLANC: New things

2 OEHHA SUPERVISING TOXICOLOGIST MARTY:

3 -- compounds for reference exposures levels. We
4 won't be able to do this process, declare it a TAC, unless
5 it actually is one already.

6 PANEL MEMBER BLANC: Right.

7 OEHHA SUPERVISING TOXICOLOGIST MARTY: And we
8 were able to use the ETS document to get ETS on that list,
9 once it was identified.

10 CHAIRPERSON FROINES: But you have 180 some HAPs
11 that are TACs.

12 OEHHA SUPERVISING TOXICOLOGIST MARTY: Correct.

13 CHAIRPERSON FROINES: So you could look at those.

14 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yes. And
15 some of them are -- we have been.

16 CHAIRPERSON FROINES: What's your status with
17 respect to pesticides. Do you have authority for
18 pesticides?

19 OEHHA SUPERVISING TOXICOLOGIST MARTY: No.

20 CHAIRPERSON FROINES: Because Cory-Slechta has
21 some nice work on perinatal effects associated with her
22 studies.

23 OEHHA SUPERVISING TOXICOLOGIST MARTY: The
24 statute specifically disallowed us from looking at
25 pesticides.

1 PANEL MEMBER BLANC: Okay. Sorry for the
2 diversion.

3 CHAIRPERSON FROINES: Go ahead.

4 --o0o--

5 CHAIRPERSON FROINES: I think it's important,
6 because I think people are new to the Panel, so this is
7 helpful.

8 OEHHA STAFF TOXICOLOGIST BROWN: Okay.
9 Continuing on. Nickel sources. Air. The annual
10 statewide average ambient air concentration for nickel
11 2002 was 4.5 nanograms of nickel per cubic meter. That's
12 from the Air Board, 2008.

13 Soil concentrations throughout the U.S. range
14 from less than 5 to 700 ppm, geometric mean of 13 plus or
15 mine 2, from Geological Survey.

16 Drinking water general contains nickel at
17 concentrations ranging from 0.5 to 25 micrograms of nickel
18 per liter.

19 PANEL MEMBER EISEN: Excuse me. So nanograms of
20 nickel, nanograms per meter cubed, is that micrograms?

21 PANEL MEMBER NAZAROFF: No. It's got to be
22 nanograms.

23 OEHHA STAFF TOXICOLOGIST BROWN: Air is in
24 nanograms. Water is micrograms.

25 PANEL MEMBER NAZAROFF: This is a correct

1 reporting.

2 PANEL MEMBER EISEN: Right. So how do I
3 translate that to though to micrograms?

4 PANEL MEMBER NAZAROFF: Oh, divide by a thousand.

5 PANEL MEMBER EISEN: So that would be 0.004.

6 PANEL MEMBER NAZAROFF: 004, 005.

7 OEHHA STAFF TOXICOLOGIST BROWN: That figure is
8 the average from the Air Board's monitoring network. So
9 it varies from region to region

10 In food, the mean and median concentrations of
11 nickel in combined dietary solids and liquids were 47 and
12 43 micrograms of nickel per kilogram respectively.

13 --o0o--

14 OEHHA STAFF TOXICOLOGIST BROWN: Toxicokinetics
15 oral absorption ranges quite a bit depending on water
16 solubility from 0.5 to 40 percent. Also, vehicle, whether
17 it's water or food or whether the animals are fasted or
18 fed.

19 Inhalation. Fifty percent of soluble nickel
20 chloride cleared from the lungs in three days. Insoluble
21 forms are cleared much more slowly. For example, the
22 half-life for nickel oxide in the lung of 12 and 21 months
23 depending on particle size.

24 Distribution in all tissues is somewhat dependent
25 on water solubility and dose. For nickel sulfate, the

1 ranking goes kidney greater than testes greater than brain
2 greater than spleen greater than heart greater than liver.

3 --o0o--

4 OEHHA STAFF TOXICOLOGIST BROWN: Excretion. Most
5 nickel compounds observed from the diet and environmental
6 media are rapidly excreted in urine, generally, as first
7 order elimination candidates with half lives of 60, 50
8 hours in rats and 83 hours in rabbits. So you have a fast
9 phase followed by a slower phase.

10 Excretion in sweat and milk are possible,
11 excretion routes for humans.

12 A number of models were covered. Apparently, we
13 missed a couple, which is sort of surprising, because I'm
14 familiar within Dan Menzel's work on arsenic modeling, but
15 I guess I missed his stuff on nickel.

16 Anyway, there is a section of discussion of that
17 and some model code and an appendix for people that are
18 interested.

19 --o0o--

20 OEHHA STAFF TOXICOLOGIST BROWN: Acute toxicity
21 in humans. There's an oral study from Sunderman 1998.
22 Thirty-two workers consumed 0.5 to 2.5 of nickel, Nickel
23 (II) as chloride and sulfate in drinking water. Twenty
24 had nausea, vomiting, and abdominal discomfort, giddiness,
25 lassitude, headache, cough, shortness of breath for a few

1 hours to several days

2 An inhalation study in occupational workers with
3 asthma tested for lung function with 30 minutes exposure
4 to 0.3 milligrams per cubic meter of nickel sulfate. Six
5 out of the seven had significantly decreased FEV₁. That's
6 Forced Expiratory Volume one second greater than 15
7 percent. That's Cirila, et al. study.

8 Now, this study is the basis of our current acute
9 REL for nickel. And we're using it again with slightly
10 revised uncertainty factors. I went back and read this
11 study. And you'll notice there's inequality there. They
12 don't actually give the actual values for the FEVs that
13 were observed. They were all greater than 15 percent.

14 Also, the study is in middle-aged asthmatics.
15 And I just wonder whether this study is adequately
16 representative of children with asthma, whether children,
17 you know, would have greater sensitivity to inhaled nickel
18 than these middle-aged occupational asthmatics.

19 Yes.

20 PANEL MEMBER BLANC: I mean -- well, to the
21 Chair, do you want us to have questions at all now or do
22 you want us just to hold them.

23 OEHHA STAFF TOXICOLOGIST BROWN: Well, I just
24 want to mention it and we'll come back to it, because this
25 study is -- we're going to give the rationale for

1 developing an acute REL based on it, so we can discuss it
2 again there.

3 CHAIRPERSON FROINES: We've generally said that
4 clarifying questions should occur during the presentation,
5 but the major discussion would occur subsequent. But if
6 you have something that's important, I think it's relevant
7 to raise, as long as it's within those guidelines.

8 PANEL MEMBER BLANC: I'll come back to it,
9 because I think this is a major methodologic question,
10 because I think you're using the study to do something
11 which is not what you think you're doing with it.

12 OEHHA STAFF TOXICOLOGIST BROWN: Okay. It's
13 probably the weakest of all the studies that we're looking
14 at here. But we've used it before, and there's not a
15 better one that we can find.

16 --o0o--

17 OEHHA STAFF TOXICOLOGIST BROWN: Acute toxicity
18 in animals. Water soluble nickel compounds are more
19 acutely toxic than water insoluble ones by the oral route.
20 Nickel sulfate, nickel acetate, single dose oral LD₅₀'s
21 range from 39 to 141 mg/kg in rats and mice.

22 On the other hand, nickel oxide, nickel
23 subsulfide. Single oral LD₅₀'s were greater than 3,000
24 mg/kg in rats and mice. Much less toxic. On the other
25 hand, if you look at inhalation exposures, 6 hour per day,

1 5 days a week for 12 days, 5 to 10 milligrams of nickel
2 subsulfide caused lung pathology, mortality, and mortality
3 at higher doses in mice and rats. So the insoluble ones
4 are much more toxic by the inhalation route.

5 --o0o--

6 OEHHA STAFF TOXICOLOGIST BROWN: Immunotoxicity
7 was also observed in mice. The Graham et al. study, which
8 we've use. Six-week old mice exposed from 0 to 490
9 micrograms of nickel per cubic meter nickel chloride, less
10 than 3 micrometers in particle size for 2 hours.

11 Exposed animals gave significant decrease in
12 antibody-forming cells after antigen challenge. A LOAEL
13 of 250 was identified. NOAEL of about 100 by the author.

14 We did our own dose response on this and
15 calculated BMDL of 164.6 micrograms of nickel per cubic
16 meter, using a benchmark of a loss of 100 plaques per
17 million cells exposed. And actually, there's a figure of
18 this, I think.

19 Next slide.

20 --o0o--

21 OEHHA STAFF TOXICOLOGIST BROWN: So this shows
22 the linear response. Also reported by the author with a
23 fitted equation, we'd applied the benchmark dose model
24 here and got a BMDL of approximately 165 micrograms of
25 nickel per cubic meter.

1 --o0o--

2 OEHHA STAFF TOXICOLOGIST BROWN: Reproductive and
3 developmental toxicity in animals. There's a 2-generation
4 reproduction study in rats at 0, 0.22, 0.56, 1.12, or 2.23
5 milligrams of nickel per kilogram day by nickel sulfate
6 aqueous gavage. Minimum of 70 days of treatment. This is
7 an industry-sponsored study.

8 Dose related increases we're seeing in perinatal
9 mortality. A LOAEL was identified as to 2.2 mg per
10 kilogram day, and a NOAEL of 1.12 mg per kilogram day.

11 Another study was spermatotoxicity in mice,
12 Pandey & Srivastava, 2000. Male mice orally administered
13 0, 5, 10 and 20 mg of nickel sulfate or nickel chloride
14 per kilogram day, times five days per week times 35 days.

15 Observations were significant. Decreases in
16 sperm count at 20 and motility at 10 and 20 milligrams per
17 kilogram day. Increases in abnormal sperm shapes were
18 seen at 10 and 20 milligrams per kilogram day.

19 And our benchmark dose value that we observed
20 here fitting the data was 2.91 milligrams per kilogram day
21 for sperm motility, for nickel sulfate, and 0.46 for
22 nickel sulfate, and 0.34 for nickel chloride mg per
23 kilogram day for sperm abnormality. That's our own
24 analysis of the data.

25 --o0o--

1 OEHHA STAFF TOXICOLOGIST BROWN: Reproductive and
2 developmental toxicity in humans. There was a number of
3 studies by Vaktsjold et al. One on spontaneous abortion,
4 case controlled study in female nickel refinery workers.
5 The odds ratio for association between nickel exposure and
6 spontaneous abortion was 1.38, with a 95 percent
7 confidence interval of 1.04 to 1.84. That's unadjusted.

8 When you adjust the data, the significance is not
9 quite there, but it borderlines. The author said possibly
10 a weak excess risk.

11 Semen quality. Another study by Danadevi. Semen
12 quality in 57 workers exposed to nickel and chromium and
13 compared to 57 unexposed controls. So there's co-exposure
14 with chromium here.

15 Sperm concentration was reduced in exposed
16 group --

17 CHAIRPERSON FROINES: Is this to the nickel and
18 chromium --

19 OEHHA STAFF TOXICOLOGIST BROWN: Mixed, yeah.

20 CHAIRPERSON FROINES: -- metal?

21 OEHHA STAFF TOXICOLOGIST BROWN: Yes.

22 CHAIRPERSON FROINES: It's not a solid.

23 OEHHA STAFF TOXICOLOGIST BROWN: I think it
24 must -- well, let's see now.

25 CHAIRPERSON FROINES: It's not a solid, it

1 wouldn't be chromium 6, for example.

2 OEHHA STAFF TOXICOLOGIST BROWN: I don't think
3 it's -- I'm not sure if it's Chromium-6 or not. It might
4 be. I don't know. I'd have to check that.

5 CHAIRPERSON FROINES: Well, we need to know the
6 valence state basically.

7 OEHHA STAFF TOXICOLOGIST BROWN: Yeah. Anyway,
8 the chromium apparently didn't have any effect, because
9 the reduction in sperm concentration to 14 mill from 62
10 million which is a significant drop. Rapid -- there's
11 also a rapid reduction in linear sperm motility and an
12 increase in sperm tail defects. The correlation was with
13 increased blood nickel and negative with association with
14 chromium. So I'm presuming this is inhalation exposure
15 and they were tracking blood concentrations of nickel and
16 chromium.

17 --o0o--

18 CHAIRPERSON FROINES: I would guess there are
19 design issues in that study.

20 OEHHA STAFF TOXICOLOGIST BROWN: This is another
21 study looking at lung radiographic abnormalities defined
22 as pulmonary fibrosis, or PF, in workers exposed to
23 airborne nickel. This is the Berge and Skyberg study.

24 Odds ratio for PF and soluble nickel was 4.34
25 with a confidence interval 1.75 to 10.77. That's

1 unadjusted. And when they adjusted it for age, smoking,
2 asbestos and sulfidic nickel, the significance dropped.
3 So it was, you know, boderline 0.82 to 6.16.

4 When they looked at sulfidic nickel, the odds
5 ratio was 5.06 unadjusted. It also dropped when it was
6 adjusted to the same things, but in this case to soluble
7 nickel instead of sulfidic obviously.

8 We did a benchmark dose on this and we found the
9 dose response as 0.35 for soluble and 0.19 for sulfidic,
10 using a metric of cumulative nickel exposure as milligrams
11 of nickel per cubic meter per year. So it's questionable
12 what's going on here. There's obviously somewhat of a
13 dose response. Whether it's significant or not, I think
14 this is a question. We're using it as sort of a
15 supporting study.

16 The results indicate dose response for cumulative
17 nickel exposure, and pulmonary fibrosis. Mean and median
18 exposure periods were 21.8 and 21.9 years respectively.

19 --o0o--

20 OEHHA STAFF TOXICOLOGIST BROWN: Chronic toxicity
21 in animal studies. A study by Oller et al., using inhaled
22 nickel metal now in rats at 0, 0.1, 0.4 or 1 milligrams of
23 nickel per cubic meter. And these were particles with a
24 mean diameter of 1.8 micrometers, 6 hours a day, 5 days a
25 week, for 24 months. No NOAEL was observed.

1 Respiratory lesions included alveolar
2 proteinosis, alveolar hystocytosis, chronic inflammation,
3 bronchiolar-alveolar, hyperplasia, and bronchial lymph
4 node infiltrate.

5 An NTP study, and there's a couple of these NTP
6 studies, which are quite extensive. Chronic study of
7 nickel sulfate, now hexahydrate in rats. Exposures of 0,
8 0.03, 0.06 or 0.11 milligrams of nickel per cubic meter as
9 above same sort of regime.

10 They observed lung inflammatory lesions,
11 macrophage hyperplasia, and nasal epithelial atrophy seen
12 at 0.06 and above. And identified a LOAEL of 60
13 micrograms per cubic meter.

14 CHAIRPERSON FROINES: What was the size
15 distribution?

16 OEHHA STAFF TOXICOLOGIST BROWN: I think it's on
17 another slide. That certainly is part of our analysis
18 that I'll be talking about later in the -- I'm pretty sure
19 it was 2.5 with a standard deviation -- or a geometric
20 deviation of 2.38, but I think that's on a later slide.

21 Anyway there's a LOAEL of 60, a NOAEL of 30, and
22 a benchmark dose of 30.5. So you see, in this case, the
23 benchmark dose at a 5 percent level basically matches the
24 NOAEL that was observed.

25 --o0o--

1 OEHHA STAFF TOXICOLOGIST BROWN: Continuing on
2 with chronic toxicity animal studies. This is another NTP
3 stud. This is now in the nickel oxide in mice exposed to
4 0, 1.0, 2.0, or 4.0 mg of nickel per cubic meter, 6 hours
5 per day, 5 days a week for 24 months. Again, lung lesions
6 similar to other studies, bronchial lymph node,
7 hyperplasia evident in all nickel exposed animals.

8 A NOAEL was not observed. A LOAEL of 1 mg per
9 cubic meter. And we did a benchmark dose and got 117
10 micrograms of nickel per cubic meter for the endpoint of
11 alveolar proteinosis. And that's reported in the
12 document.

13 --o0o--

14 OEHHA STAFF TOXICOLOGIST BROWN: Are there any
15 questions at this point, because I'm going to launch into
16 the derivations now of the various values we came with up.

17 This is the one for the acute REL. Again, this
18 is the Cirila study, which we acknowledge as sort of
19 problematic in 7 metal plating volunteers with
20 occupational asthma. Exposure was 0.3 mg of nickel
21 hexahydrate per cubic meter. That translates to 67
22 micrograms of nickel for 30 minutes. LOAEL of 67 for 30
23 minutes for an FEV1. NOAEL was not observed.

24 For a 1-hour adjustment we adjust this to 33 and
25 then we apply a LOAEL uncertainty factor of 10. And an

1 intraspecies of root 10, because this is to account for
2 children as opposed to adult asthmatics. That gives us a
3 cumulative UF of 30 and an aREL of 33 over 30 or 1.1
4 micrograms of nickel per cubic meter.

5 So do we want to discuss this study now or do we
6 want to wait until I've gone through the derivations of
7 all of the RELs?

8 Dr. Blanc, it's up to you?

9 PANEL MEMBER BLANC: No, I'm not a lead. I think
10 it will be up to the leads to say you want to keep going
11 and then does this all at the end?

12 OEHHA STAFF TOXICOLOGIST BROWN: Okay. This is
13 the 8-hour REL. And here we're using the Graham study,
14 the one where I showed you the linear graph of the dose
15 response, also supported by the NTP 1994c study.

16 In this case, the study population's female mice
17 exposure of 100 to 490 micrograms of nickel chloride per
18 cubic meter for 2 hours. The effect noted was depressed
19 antibody response to sheep red blood cells.

20 The authors identified a LOAEL of 250. We have a
21 benchmark dose of 165. And the NOAEL of 100, I think, is
22 questionable in this case.

23 The BMDL extrapolated from 165 is 82 for 8 hours.
24 The LOAEL uncertainty factor we're applying here is root
25 10 for a BMR analysis. In other words, we did a dose

1 response analysis, so we don't feel we need to apply a
2 full 10-fold here.

3 Interspecies 10 and intraspecies 30, which
4 includes factors for both pharmacokinetic and
5 pharmacodynamic factors, giving an overall UF of 1,000.
6 And 82 divided by 1,000 is 0.08 micrograms of nickel per
7 cubic meter. That's the value we're proposing for the
8 8-hour REL.

9 --o0o--

10 OEHHA STAFF TOXICOLOGIST BROWN: For chronic REL
11 for nickel and nickel compounds, except for the nickel
12 oxide, we're using the NTP 1994c study.

13 Study population here is male and female rats.
14 Exposure, discontinuous inhalation to 0, 0.12, 0.25, 0.5
15 milligrams of nickel hexahydrate per cubic meter. That
16 Translates to 0.03, 0.06, and 0.22 milligrams of nickel
17 per cubic meter. This is all 6 hours a day, 5 days a week
18 for 104 weeks.

19 Critical effects were pathological changes on the
20 lung, lymph nodes, and nasal epithelium. The LOAEL is 60.
21 The NOAEL is 30. And the BMDL was essentially 30
22 micrograms of nickel per cubic meter.

23 The average experimental concentration was 5.4
24 micrograms of nickel per cubic meter. Now, to derive the
25 human equivalent concentration, we used the MPPD2 model.

1 And using that model, we adjusted the 5.4 to 1.4
2 micrograms of nickel per cubic meter. There will be more
3 discussion of this procedure a little bit later.

4 --o0o--

5 OEHHA STAFF TOXICOLOGIST BROWN: Carrying on
6 here. We applied an interspecies uncertainty factor of
7 root 10. Intraspecies of 30. Giving a cumulative
8 uncertainty factor of 100. And the cREL calculated at 1.4
9 divided by 100 or 0.014 micrograms of nickel per cubic
10 meter. That's for nickel and nickel compounds, except for
11 nickel oxide.

12 --o0o--

13 OEHHA STAFF TOXICOLOGIST BROWN: For nickel
14 oxide, we use the NTP 1994a study. This is in male and
15 female mice, 57 to 69 animals per group. Exposure of 1,
16 2, and 4 mg of nickel per cubic meter, 6 hours a day, 5
17 days a week, 104 weeks.

18 Critical effects very similar. Pathological
19 changes in the lung, including pulmonary inflammation and
20 alveolar proteinosis.

21 The LOAEL was identified as 1 benchmark dose gave
22 117 micrograms per cubic meter for 5 percent of alveolar
23 proteinosis. Average experimental concentration was 20.9.

24 --o0o--

25 OEHHA STAFF TOXICOLOGIST BROWN: And the human

1 equivalent concentration was 2. And there's no MPPD model
2 for the mouse, so we have to go to Hsieh et al., 1999 who
3 did a deposition study for these very nickel compounds in
4 mice, and we're using adjustment deposition factors from
5 that study.

6 We used an interspecies UF of root 10,
7 intraspecies of 30, giving a cumulative of 100. Two
8 divided by 100 is 0.02 micrograms of nickel per cubic
9 meter.

10 --o0o--

11 OEHHA STAFF TOXICOLOGIST BROWN: Finally, the
12 oral chronic REL. And here we use an industry study,
13 NiPERA, 2002a and b. The study population is rats.
14 Aqueous gavage with Nickel sulfate.

15 Critical effects, perinatal mortality in two
16 generation study. A LOAEL 2.23, NOAEL of 1.12, mg of
17 nickel per kilogram per day. Average exposure 1.1. Human
18 equivalent 1.1.

19 --o0o--

20 OEHHA STAFF TOXICOLOGIST BROWN: LOAEL UF of 1.
21 I guess there was NOAEL.

22 Interspecies UF of 10. Intraspecies 10. Overall
23 100. Oral cREL is 1.1 divided by 100 or 0.011 milligrams
24 of nickel per kilogram per day. This is the same
25 derivation as used for drinking water PHG.

1 --o0o--

2 OEHHA STAFF TOXICOLOGIST BROWN: Overall summary.
3 The acute REL 1.1 micrograms of nickel based on FEV1
4 decrease in adult asthmatics. The 8-hour REL 0.08
5 micrograms of nickel per cubic meter based on
6 immunotoxicity.

7 The chronic REL for nickel and nickel compounds,
8 except nickel oxide 0.014 based on lesions in the lung.
9 And the chronic REL for nickel oxide 0.02, based on
10 alveolar proteinosis.

11 The oral REL, 11 micrograms per kilogram per day,
12 based on perinatal mortality. The same basis as our
13 drinking water, PHG.

14 --o0o--

15 OEHHA STAFF TOXICOLOGIST BROWN: Now, should I go
16 head and address this now or what do you think?

17 OEHHA SUPERVISING TOXICOLOGIST MARTY: Well, we
18 have one slide responding to the information that the SRP
19 received from NiPERA. So I don't know you if you guys
20 want to --

21 CHAIRPERSON FROINES: Go ahead. Go ahead.

22 OEHHA SUPERVISING TOXICOLOGIST MARTY: -- hear
23 what we have to say.

24 OEHHA STAFF TOXICOLOGIST BROWN: Okay. This is
25 the basic comment. It's about the dosimetry adjustment

1 that we used, particularly on the nickel sulfate. And the
2 comment essentially is this. It says that, "OEHHA
3 calculates the HEC based solely on the ratio of deposition
4 fractions in humans and rats. A more precise calculation
5 can be made based on the ratio of deposited doses".

6 And I followed here with an example. The method
7 we applied, which we're calling a dosimetric adjustment
8 factor for nickel sulfate is the fractional deposition of
9 animals over humans, 0.089 over 0.348 giving a value of
10 2.64. This is the value we'd multiplied the animal value,
11 exposure value by to get the human.

12 Now, NiPERA recommends using essentially what is
13 EPA methodology from 1994, the so-called RDDR, which is
14 the Regional Dose Deposition Ratio Rate. And this amounts
15 to basically a normalization factor obtained by
16 multiplying the ratio of the deposition rates, the FRA
17 over FRH by a ratio of human to animal surface area --
18 lung surface area times the ratio of animals to human
19 volumes. So this amounts to a normalization factor, which
20 would adjust the 0.264 to 0.554 giving a doubling of the
21 REL.

22 Now, the reason we didn't do this is because the
23 normalization factors that are being used there are
24 default adult values, and they're not child values.
25 Whereas, the human FR used in our value is an average of

1 time. And if you run these models for fractions of their
2 age, say 10 percent of the age, and look at the retention
3 defined here as micrograms of nickel sulfide retained in
4 the lung per day per square meter of alveolar surface
5 area, you find that you do get values that are quite
6 different from those from -- provided by the adult human
7 normalization factors.

8 So, for example, if you took the average of the
9 values we obtained for three months, 3 years, 9 years, and
10 14 years, they would average 0.465. You know that would
11 actually lead to a reduced dosimetric adjustment factor.

12 And this is a preliminary analysis, but I think
13 it, you know, raises some questions about the adequacy the
14 normalization factor the way it's being used.

15 So this is something that, you know, we can look
16 at further in our revision to the document. Or at least
17 what I should explain in greater detail the rationale for
18 what we did and what the possible alternatives might be.

19 In this case, for example, we ran the 3-month
20 model for 2 weeks, the 3-year for 6 month exposure, 9
21 years for one year, et cetera. So this is the way we did
22 that, but there might be a more systematic way of doing
23 it.

24 --o0o--

25 OEHHA STAFF TOXICOLOGIST BROWN: So this is our,

1 you know, sort of response to their comment. If we do use
2 some sort of a normalization procedure. It wouldn't be
3 based solely on the human adults. It will be based on
4 those child models as well.

5 PANEL MEMBER GILL: What's the definition of a
6 child in the law?

7 OEHHA STAFF TOXICOLOGIST BROWN: Okay. The child
8 models --

9 PANEL MEMBER GILL: No, by law, what's a child --

10 OEHHA STAFF TOXICOLOGIST BROWN: Eighteen.
11 Eighteen, I think, isn't it?

12 OEHHA SUPERVISING TOXICOLOGIST SALMON: We don't
13 use that.

14 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah. We
15 actually -- don't -- there isn't one in the statute that
16 drives what we're doing. But we do have at least one
17 pediatrician on staff. And we typically view the
18 different developmental stages of children like a
19 pediatrician would. So infancy is up to 12 months, then
20 toddlerhood, older children, and then adolescence.

21 So we have done that. And you can actually find
22 some age-specific surface area and then it - volumes if 4
23 brackets of those age groupings to apply, which is
24 possible to do that.

25 PANEL MEMBER GLANTZ: Questions. In the end, so

1 what you're saying here is that even if you take the
2 NiPERA approach, the children still have higher
3 deposition?

4 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yes.

5 PANEL MEMBER GLANTZ: Okay, but of the two
6 methods, the 0.26 versus 0.55, I mean, do you still think
7 you should be sticking with the original 0.26 or do you
8 think they've made a good point to go to 0.55.

9 OEHHA STAFF TOXICOLOGIST BROWN: Well, they made
10 a point in that the general procedure does use a
11 normalization factor of sort of a depositional rate, if
12 you like.

13 The question is which one should we use here
14 where we're looking at child models? And I'd like to
15 stick with the MPPD model, because that's what I've used
16 to derive the deposition fractions. So I'd like to have
17 the consistency of at least working in the same ballpark
18 is something that's reproducible. It's a freely
19 downloadable model that people can check the values on.

20 OEHHA SUPERVISING TOXICOLOGIST SALMON: I think
21 it's also fair to comment that the RGDR and RDDR models,
22 which they're advocating, and which of these ones which
23 have been around from US EPA for quite a number of years
24 as a sort of default approach, are things that we do have
25 some significant reservations about how good they are

1 generally, and how reliable.

2 And we have, in fact, deliberately in other RELs
3 like the acetaldehyde and formaldehyde things like that,
4 we've deliberately used other deposition models for gases
5 or particles, which we feel are superior to the original
6 RGDR and RDDR type models.

7 So we have a policy of trying to use a more
8 developed more analytical model, if we can.

9 PANEL MEMBER GLANTZ: And why do you think
10 they're superior?

11 OEHHA SUPERVISING TOXICOLOGIST SALMON: Yeah, for
12 one thing, they are chemical and data specific. Whereas,
13 the RGDR is just based on surface areas of lungs and
14 things. It's species specific, but not chemical specific.

15 OEHHA STAFF TOXICOLOGIST BROWN: I agree with the
16 comment, in that we have to provide more rationale and
17 explain why we did it this way. I mean, that's a fair
18 comment. I accept that. And I would like to provide at
19 least a description of some alternatives that might be
20 applied, even if we stick with a 0.264, which is between
21 the two sort of extremes, if you like.

22 CHAIRPERSON FROINES: So is that an action item
23 for a revised document?

24 OEHHA STAFF TOXICOLOGIST BROWN: Yeah. I would
25 say so. I'd say it's on my -- it's certainly one of the

1 things I'd like to do on my own. Just responding to the
2 comment, I think it's suitable.

3 CHAIRPERSON FROINES: Is that it?

4 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yep.

5 OEHHA STAFF TOXICOLOGIST BROWN: Acute REL. So
6 as I said this is a weak study.

7 OEHHA SUPERVISING TOXICOLOGIST MARTY: So that's
8 it for our presentation.

9 OEHHA STAFF TOXICOLOGIST BROWN: That's it for
10 the presentation. Yeah, that's it.

11 OEHHA SUPERVISING TOXICOLOGIST MARTY: So we can
12 go back to the Panel for comments now.

13 CHAIRPERSON FROINES: So I'm going to go to Bill,
14 who addressed exposure issues, and then Ellen. But, Paul,
15 is that order, and then you, because you said you had.

16 PANEL MEMBER BLANC: Certainly the lead should
17 speak first. I don't want to duplicate something they
18 say.

19 CHAIRPERSON FROINES: Good. You're on.

20 PANEL MEMBER NAZAROFF: So thank you. I, about a
21 week ago, maybe not quite that, forwarded a set of
22 comments to OEHHA and to John and to our staff. And
23 they've just been circulated, I guess, to the Committee.
24 So the Committee has not had any advanced opportunity to
25 see them.

1 What I'd like to do is just highlight the 5 main
2 points that I've raised, which -- and I guess the best
3 process here is not to engage in a dialogue, but I'll just
4 present my comments in the same way that you presented
5 your report.

6 And then I have an extensive list of very
7 specific comments that I won't go through in full detail,
8 but I'll pull some that I think are worth noting.

9 The first broad point is the issue of the
10 environmental chemistry of nickel and its relevance in
11 this story. We know that nickel can exist in many
12 different chemical forms and in several different
13 oxidation states. And that these characteristics are
14 interrelated.

15 The chemical form and the redox state of nickel
16 can vary among emission sources, it can have an impact on
17 the environmental fate of nickel, and it can have
18 consequences for health risks when exposure occurs.

19 The current draft document contains considerable
20 information that pertains to oxidation state and to the
21 chemical form. However, I don't find that that
22 information is especially well organized, nor is every
23 aspect that is important consistently reported, nor is the
24 reporting done with perfect accuracy, even when it's done.

25 So broadly I would like to see a review of the

1 document with attention to the redox state and the
2 chemical form. But let me give a few examples just to
3 illustrate the nature of the concern.

4 In the opening paragraph of the summary -- well,
5 actually it's the opening of the second paragraph of the
6 summary on page 4, it suggests that the only concern is
7 with nickel and oxidation state plus 2, but throughout the
8 document other forms of nickel are referenced. There's
9 nickel in valence state zero, nickel metal, and one of the
10 chemical forms I think is oxidation state plus 3. It's
11 Ni₂O₃.

12 And so this point needs to be brought out more
13 clearly, if we're going to have an REL for nickel in all
14 of its forms in any valence state, then that should be
15 presented in that way. And, in general, it probably would
16 be wise in the summary to just layout this issue that
17 nickel can exist in these many states, and those states
18 have impact on important factors like solubility,
19 bioavailability and so forth.

20 On page 5, there is one compound in the table
21 that -- I had to look these up some of them myself. I
22 didn't know. But there's just a couple of things that
23 struck me as odd. And the one was reported inaccurately.
24 It's nickel carbonate hydroxide. So you should double
25 check that. You don't have enough an ions to balance the

1 cat ion.

2 And then an important point is that the --
3 related to this whole story, the solubility of nickel in
4 its various compounds seems to be of considerable
5 importance in biological availability, and in the risk
6 associated with it. And the topic of solubility arises at
7 many places in the document, but it's not brought to the
8 front in a way that would allow the reader to place the
9 specific details in a broader context.

10 So there's a place at the bottom of page 9 where
11 the relative solubility of several different nickel
12 compounds is reported, subsidiary to ingestion as the
13 pathway.

14 But that point is of much broader significance
15 than just the ingestion pathway. So if -- the point is
16 well worth making, but it ought to be brought out to a
17 summary discussion of the environmental state of nickel.

18 The second broad point is -- well, actually, let
19 me just make one other point. It's in the list of things
20 later, but it's really worth calling out here.

21 And that is when a health study is being reported
22 I think it's incumbent upon you to tell us to tell the
23 readers what the chemical form, what the oxidation state
24 of nickel was. And that's often reported, and it's often
25 discussed, but there are many instances in the draft

1 document where that information is absent. It may have
2 been absent in the original report. If that's the case,
3 then you should call that out. But it seems so important
4 to the issues that we're considering, that it needs to be
5 consistency documented.

6 The second important point that I wanted to raise
7 is the significance of particle size for respiratory
8 exposure and respiratory tract deposition. For all
9 exposures that occur by the inhalation pathway, particle
10 size is a critical determinant of whether the particle
11 makes it into the respiratory tract or not, the
12 probability of deposition within the respiratory tract,
13 and, if deposited, where?

14 And then the fate of the particles following
15 respiratory deposition and consequently the risk of
16 adverse health effects will be influenced by the size of
17 the particles, by the chemical composition of the
18 particle, and by the location of the deposit.

19 So, for example, it's been shown -- I don't know
20 that it's been shown for nickel, but for some other
21 metals, it's been shown that inhalation of ultrafine
22 particles can result in deposition in the sinuses, and
23 then translocation via the olfactory nerve into the brain
24 when those particles are insoluble.

25 PANEL MEMBER BLANC: Well, I think that -- just

1 to clarify, I think that's really only been shown for
2 manganese and it's not ultrafine manganese. In fact, it's
3 larger particles of manganese.

4 PANEL MEMBER NAZAROFF: Okay. Well --

5 PANEL MEMBER BLANC: I understand the ultrafine
6 manganese gets into the lung and gets into the circulation
7 that way. But the amazing thing about manganese is that
8 larger particles, which are generally blown off as
9 being -- bad metaphor -- general discounted as not being
10 so relevant to toxicity in manganese, that the large
11 particles may actually matter more, because those are the
12 ones that get taken up by the olfactory system and then
13 get transported to the brain. I think that's it.

14 PANEL MEMBER NAZAROFF: I don't think it's right,
15 but I don't have the literature at my finger tips. So I
16 mean --

17 CHAIRPERSON FROINES: Well, the answer --

18 PANEL MEMBER NAZAROFF: -- I'm not disputing what
19 you're saying, but the conclusion that that's the only
20 important evidence about olfactory --

21 CHAIRPERSON FROINES: But we know -- let me weigh
22 in, because this is part of our work. We know Günter
23 Oberdörster at Rochester has shown ultrafines go through
24 the olfactory bulb and into the brain. And so he's
25 demonstrated it.

1 We have demonstrated that if you have exposed to
2 ultrafine particles, that, again, the particles go through
3 the olfactory bulb and cause chronic inflammatory
4 processes. So there are two investigating teams that have
5 shown that.

6 PANEL MEMBER NAZAROFF: And I want to use that as
7 an illustration, in any case, of the broader point, which
8 is that the size of the particle and the degree of
9 solubility is quite important.

10 Larger insoluble particles that deposit in the
11 alveolar region are likely only to be removed by
12 macrophage engulfment. Particles that deposit in the
13 tracheal bronchial region, if they're insoluble, will be
14 cleared by the mucociliary ladder with different residents
15 fines. Particles that are soluble and deposit deep in the
16 respiratory tract have the possibility of the ions moving
17 out, rather than having to have the particles move out.

18 My point is merely that each of those dimensions
19 is of crucial importance in understanding any particular
20 health study, the relationship between what exposure
21 conditions were and what the pathways of biological insult
22 and health risks might be. And so I just think they need
23 to be consistently reported.

24 So again, particle size appears in many places
25 throughout the document. And I'm not suggesting that you

1 didn't understand that, but it's not consistently enough
2 reported to set a proper context for evaluating and
3 understanding all of the studies that are reported here.

4 CHAIRPERSON FROINES: There's also the ultrafines
5 can penetrate epithelial cells and are taken up.

6 PANEL MEMBER NAZAROFF: Yeah, ultrafine, if they
7 make it into the --

8 CHAIRPERSON FROINES: Alveolar region.

9 PANEL MEMBER NAZAROFF: -- alveolar region or
10 even in the tracheal bronchial, their transpleural transit
11 is -- or whatever it's called -- has been documented in
12 some studies.

13 There was also an odd mention, and I'm sorry I
14 don't have the point in the document where it occurred at
15 my fingertips, of respiratory tract deposition being
16 somehow tied to the chemical composition of the particles.
17 That is where the particles deposited or the probability
18 of deposition, but deposition is, so far as I understand
19 anyway, purely a physical process. And it would depend on
20 the size and density of the particle, but not on its
21 chemical makeup.

22 OEHHA STAFF TOXICOLOGIST BROWN: That's right.

23 PANEL MEMBER GLANTZ: Can I just interrupt for
24 one second.

25 PANEL MEMBER NAZAROFF: Sure.

1 PANEL MEMBER GLANTZ: I mean, I -- well -- I
2 thought that was great. And I had a really hard time with
3 this report, because you didn't say what Bill was talking
4 about. And given the multiple pathways that nickel is
5 being absorbed, the multiple different nickel compounds
6 that you're talking about, the sort of -- the role of
7 ionic nickel versus other things.

8 I think the report would be a lot easier to read
9 if you took the material we just heard and put that at the
10 beginning. I realize you're not writing a textbook, but I
11 think if you sort of presented that as a framework to
12 hang -- because you just -- I just got totally bogged down
13 in all of these 55 million individual studies.

14 And then you could say, okay, this is coming in
15 through very fine particles. This is coarse particles.
16 Here's where the ionic material is important. And that
17 can be -- I don't think you'd have to write a lot, but I
18 think if you could create -- that could create a framework
19 that, if you carried -- if you kind of hung all of the
20 individual studies on, it would have made the report a lot
21 easier for me to understand. So I thought that was like
22 really good.

23 And also John showed us, which I'm share he'll
24 talk about, an Email a little bit about sort of cellular
25 or subcellular mechanisms and pathways. And you do kind

1 of have that at the very end, where you're -- and I got to
2 the end and I thought why didn't they put this picture at
3 the beginning, because there's so many different details
4 flying around.

5 So, I mean, anyway, I just -- thank you. That
6 was great.

7 PANEL MEMBER NAZAROFF: So let me move on to now
8 the third point, and that has to do with summarizing the
9 State of knowledge of environmental exposure to nickel. I
10 think -- and here, I'm treading on a little less
11 comfortable ground, because I don't know the full context
12 in which this document appears in a broader story.

13 But I found the early section that reported air
14 and soil and food exposure to be lacking in sort of a
15 critical summary of what we know today. The air part, in
16 particular, doesn't cite anything more recent than --
17 well, actually no recent sort of archival literature. And
18 the recent data that are cited, I actually have a bit of a
19 question about anyway.

20 There's this odd character that the -- I went to
21 the air toxics site of, I guess, it's ARB, and nickel is
22 listed there being monitored. I don't believe the
23 sentence literally as you've written it, that says that
24 the 2002 number reflects the average ambient concentration
25 above the State, because it just reflects the average of

1 the Samples that were collected, I presume, which are not,
2 of course, statistically representative of all the air
3 above the state. That's a fine point.

4 But I don't understand why the monitoring went
5 from in 2002 a very low sensitivity threshold or detection
6 limit to subsequently more recently, like I was wondering
7 why are you citing year 2002 data?

8 The more recent data are almost all below the
9 detection limit, but the detection limit has gone up to 9
10 nanograms per cubic meter for reasons that are not
11 apparent to me at all. This is a weakness of the State's
12 monitoring program, as far as can I tell.

13 So, you know, the last 7 or 8 years we have no
14 sort of routinely gathered data that are being analyzed
15 with sufficient sensitivity, so that we know what they
16 are.

17 Anyway, I've provided a list of some, maybe 10
18 articles that I found in 30 minutes of looking, that
19 report recent monitoring of air or near roadway
20 concentrations of nickel in California or in conditions
21 that are relevant to California, like in tobacco smoke.
22 And I just commend them to your attention to strengthen
23 that section of the report. I think it would be helpful
24 to have some more modern context for understanding how
25 important this contaminant is.

1 The fourth item that I point out is something
2 that Ellen was on the distribution list, because we were
3 co-leads, so she'll comment more substantially on this.
4 But I just felt like the health studies didn't include
5 enough attention to environmental epidemiology
6 investigations. They seem to me to be biased towards
7 being fairly thorough, I presumed, on occupational
8 investigations, laboratory animals, in vitro studies in
9 the laboratory, but there was a missed opportunity from
10 taken a look at some recent work that looks at nickel in
11 the environment and environmental exposures and trying to
12 make some sense from environmental epidemiology of what
13 the health risks might be.

14 And then my final general comment is actually
15 coming back to something that Stan just raised. And the
16 heading I put this under was flow balance and connectivity
17 in the narrative. I also found this a very hard document
18 to read. And in reflecting on it, I think part of the
19 reason -- and the way I've written it here is you ought to
20 tell them what you're going to tell them, tell them, and
21 then tell them what you told them. And you didn't do a
22 very good job of telling us what you were going to tell
23 us, that each section when I entered it, I was -- I felt
24 like frequently I was immersed in sort of a bottom up
25 encyclopedic paragraph-by-paragraph description of

1 individual studies without a framework within which to
2 understand it, and without much connective tissue to help
3 me see how one study related to another study.

4 And by the time I got to the end of the report, I
5 felt like I had plowed through 70 pages of dense
6 narratives summarizing individual studies one by one. But
7 then when I got to the part that I really wanted to have
8 well developed, which was the explanation of how you took
9 this literature and derived REL values, it was hastily --
10 I had the feeling of haste going through that; that there
11 was not adequate discussion of how some studies were
12 selected and others were excluded.

13 There was not enough discussion of what was in
14 the studies, so that each of the studies, whether they
15 were used to set the guideline value or not, were treated
16 about the same way. It was like one paragraph on Cirila
17 telling us about its merits. And there was one paragraph
18 on 50 other studies as well. I needed to hear more about
19 why that one study was the basis for setting the REL and
20 the other 50 weren't.

21 PANEL MEMBER GLANTZ: Yeah, I really --

22 PANEL MEMBER NAZAROFF: I think I'll stop at that
23 point.

24 PANEL MEMBER GLANTZ: I really, really, really
25 want to second that. I mean, I felt like I kind of needed

1 a machete. And I just -- it was like just this bit --
2 there was all this detail, and I kept waiting to have it
3 pulled together, so I could see kind of where is this
4 taking me. And I felt very unadequate, but now I feel
5 better.

6 (Laughter.)

7 PANEL MEMBER NAZAROFF: So I should stop there,
8 especially in the interests of time. I've conveyed to
9 staff, in addition to these broad comments, a list of 50
10 sort of line by line suggestions, some of which are quite
11 trivial and others of which are more substantial, but they
12 all are around these themes.

13 CHAIRPERSON FROINES: Melanie, do you want to
14 respond or shall we go on?

15 OEHHA SUPERVISING TOXICOLOGIST MARTY: Just to
16 one of the points about the environmental epidemiology.
17 It's kind of funny, because my other group who does the
18 health-based recommendations for particulate matter for
19 the ambient air quality standards has generated some of
20 the studies that you're talking about.

21 But, you know, we kind of didn't want to get into
22 the PM literature, which, as you know, is vast. So in as
23 much as there's currently a couple dozen studies maybe
24 that have done speciation of PM, and a subset of those
25 that have looked at nickel. We could pool those together

1 and have a little section on it.

2 They're not super informative at the moment,
3 because it's hard to do that kind of stuff, but we can put
4 that in there. It's just kind of funny that we didn't.

5 CHAIRPERSON FROINES: I think you have to be very
6 careful with the PM literature, because you don't want to
7 in a way see nickel as the etiologic agent, when, in fact,
8 there's a lot of other things that are important.

9 OEHHA SUPERVISING TOXICOLOGIST SALMON: Yeah.
10 It's probably also worth saying, you know, maybe this is
11 something that could have been explained better, but you
12 know, the context of the proposed RELs is specifically in
13 relation to point source emissions, not the general
14 ambient environment. Although, what's observed in the
15 general ambient environment is clearly relevant and
16 important in understanding what the potential effects are.
17 We need to have our recommendations to the RELs directed
18 to a context which is relevant to the point source
19 emissions situation in the Hot Spots Program.

20 PANEL MEMBER NAZAROFF: So, for example, would
21 emissions from the Port of Long Beach be subject, at least
22 of interest in this context?

23 OEHHA SUPERVISING TOXICOLOGIST SALMON: Yes.
24 Certainly of interest. But I think when we get into that
25 area, we need to explain the context in which we're doing

1 it, which is that point, as I say, that it relates to
2 point emissions rather than statewide ambient, for
3 instance.

4 PANEL MEMBER NAZAROFF: That's fine.

5 CHAIRPERSON FROINES: Well, we know that the Long
6 Beach Port, for example, the metals that we find most
7 interesting are vanadium, iron, and copper, and nickel is
8 another metal. But that doesn't mean that one can't make
9 reference to the fact that nickel is one of the elements
10 of concern.

11 OEHHA SUPERVISING TOXICOLOGIST SALMON:

12 Absolutely. But I just say, we need to have --
13 when we do incorporate that, we need to make sure that we
14 reflect that context, I think.

15 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah. I
16 guess the other thing I would say is, you know, that the
17 older Panel members can tell you, we have sort of
18 struggled with how much to put in these documents.

19 CHAIRPERSON FROINES: You should be careful about
20 those older Panel members.

21 (Laughter.)

22 OEHHA SUPERVISING TOXICOLOGIST SALMON: The more
23 senior Panel members.

24 CHAIRPERSON FROINES: The experienced Panel
25 members.

1 (Laughter.)

2 OEHHA SUPERVISING TOXICOLOGIST MARTY: Okay, bad
3 choice of words.

4 PANEL MEMBER GLANTZ: Well, speaking as one of
5 the older Panel members, you know, I don't -- I think you
6 could deal with a lot of this stuff without necessarily
7 making the document a lot longer. I think it's more
8 about -- you know, I think the sort of introductory stuff
9 could be done in a couple of 3 pages. And then -- but to
10 create a framework in which to hang all of the details in
11 a context in which to interpret the studies.

12 OEHHA SUPERVISING TOXICOLOGIST MARTY: Oh, yeah.
13 No, I agree with that comment totally.

14 PANEL MEMBER GLANTZ: I don't think that would
15 take a lot of additional pages. It might even shorten it.

16 OEHHA SUPERVISING TOXICOLOGIST MARTY: No. I
17 agree with that totally with having a framework in more
18 context.

19 But in terms of how many of these studies to have
20 a synopsis of, you know, if we had been doing this
21 document 10 years ago or even 5 years ago, it would be a
22 lot shorter. But we got a lot of feedback that it was
23 hard to review a document like that, unless you happen to
24 know a lot about the literature there are available on
25 those chemicals.

1 So nickel is a hard one, because there aren't a
2 lot of studies available, you know, of varying quality and
3 looking at different things. So it did end up a lot
4 longer than I thought it was going to end up.

5 PANEL MEMBER BLANC: Well, let me -- it's not my
6 turn yet, but just wouldn't tabular presentations of some
7 of it paralleling the table that you have Table 5a,
8 wouldn't that, for some of these things that are going to
9 end up being the studies that you're not using or
10 certainly studies that you didn't even consider for more
11 than a nanosecond as the -- deriving a REL could be
12 summarized in that way and that would save space.

13 OEHHA SUPERVISING TOXICOLOGIST MARTY: We could
14 do that. And Dr. Froines also had a suggestion for me off
15 line that maybe we can consider taking a lot of this and
16 putting it into an appendix. So shorter summary/synopsis
17 up front, longer detailed stuffed as intended.

18 CHAIRPERSON FROINES: Well, my suggestion -- I
19 want to go to Ellen. But my suggestion was put what's
20 important up front, put what supports the important
21 studies next and then put everything else in an appendix
22 and you'd have a smaller document as well.

23 Ellen.

24 PANEL MEMBER EISEN: Yeah. So I just reviewed
25 your view of the epidemiology, and I really don't have

1 many points to make, except I'll make 3, I think.

2 I mean, most of the epidemiology wasn't very
3 good. And the reliance for the acute REL on that Cirila
4 study I found really, well, surprising. And I thought,
5 well, this is -- I mean, it was a lesson in how EPA sets
6 air standards to realize that it can be done on the basis
7 of a single study, where there was just one does.

8 It wasn't like there was any dose response in the
9 study. It was just the guy gave asthmatics --
10 occupational asthmatics, people who didn't have a prior
11 history of asthma before they went to work in a nickel
12 plant, challenge them.

13 MR. MATHEWS: Closer to the mic, please.

14 PANEL MEMBER EISEN: He challenged them with a
15 particular amount of nickel -- and I don't remember what
16 kind of nickel it was -- but anyway, with one dose level.
17 And 6 of the 7 had a drop in their FEV1 of more than 15
18 percent. And so bing, that's the -- that was the level
19 that you used. And I don't have anything else to offer.
20 There wasn't anything else to use, but it just didn't seem
21 very substantial.

22 And then in the -- I actually thought that the
23 Skyberg and Berge paper was not bad. It was actually
24 fairly decent, the pulmonary fibrosis paper, which you did
25 end up using as a secondary supporting evidence for the

1 chronic limit.

2 But I did have a few -- I had a hard time
3 understanding your Table 11 and how it corresponded to
4 Table 5 in the Berge and Skyberg paper. In particular, I
5 think the main -- so Table 11 is on page 101 in the
6 document -- in your review.

7 You present summaries of their dose response
8 results soluble nickel and this sulfidic nickel and
9 present results for a crude model without adjusting for
10 any confounding and then two different more fully adjusted
11 models.

12 And I think what did you at the end of the day
13 was used the crude model to drive your -- or to justify,
14 to support the justification for the exposure limit. And
15 I would just make the strong recommendation, that
16 that's -- you know, you don't do that, that you always use
17 the adjusted model, rather than the crude model, if you're
18 going to --

19 PANEL MEMBER BLANC: I thought they used the raw
20 data.

21 OEHHA STAFF TOXICOLOGIST BROWN: We used both,
22 actually.

23 PANEL MEMBER EISEN: I also -- I didn't -- I
24 can't -- I didn't understand also the -- I guess you
25 use -- you're presenting in Table 11 on the far left

1 column that those these are means in the categories?

2 OEHHA STAFF TOXICOLOGIST BROWN: It's the mean of
3 the dose interval. And that's put in a benchmark dose,
4 which uses all the data to calculate a lower bound on a
5 particular response level.

6 PANEL MEMBER EISEN: Right. I mean, I'm
7 surprised that that's the procedure, rather than using the
8 cut point for the category where you saw the effect.

9 OEHHA STAFF TOXICOLOGIST BROWN: I think that's
10 the way we always do it with benchmark doses. We've done
11 that with arsenic and other points.

12 OEHHA SUPERVISING TOXICOLOGIST SALMON: It's how
13 the software is setup to accept the data basically.

14 OEHHA STAFF TOXICOLOGIST BROWN: Lean and mean.

15 PANEL MEMBER EISEN: It's still sort of peculiar
16 to me. I don't know why you would use the mean if you
17 have a --

18 OEHHA STAFF TOXICOLOGIST BROWN: Mean and
19 standard deviation.

20 PANEL MEMBER EISEN: -- lower cut point for that
21 exposure category.

22 So then maybe we can come back to that
23 discussion. But the third really point was really that
24 I -- I do know that there's a little bit of PM literature
25 looking at metal components based on these boilermakers --

1 from the occupational literature looking at at PM2.5
2 exposure in boilermakers where they have looked at
3 particular metals and cardiovascular effects.

4 And so there's nothing on cardiovascular effects
5 in here. And I don't know necessarily that you want to go
6 there, but it did seem like something was --

7 OEHHA STAFF TOXICOLOGIST BROWN: There's no dose
8 response data, but I think there is a few comments on
9 cardiovascular effects of nickel in the document.

10 PANEL MEMBER EISEN: In your review?

11 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah.

12 OEHHA STAFF TOXICOLOGIST BROWN: Yeah, there is.
13 It was added late, but I think it's in this draft.

14 OEHHA SUPERVISING TOXICOLOGIST MARTY: It is.

15 PANEL MEMBER EISEN: Is it human data?

16 OEHHA STAFF TOXICOLOGIST BROWN: I can't recall
17 if it's human or not. It might be.

18 PANEL MEMBER EISEN: Because I missed it if it
19 was human data.

20 And then I actually did review some of the
21 epidemiology that Bill had found on PM. And there was one
22 study in particular, which I really think is actually
23 probably better than anything else. And it's the study by
24 Michelle Bell, where they looked at low birth weight.

25 So they looked at low birth weight in, you know,

1 70,000 births in Massachusetts and Connecticut and looked
2 at PM2.5 and 6 metal components. And it was a pretty well
3 done study. And they actually found effects for all of
4 the metals that they looked at. Actually, I think they
5 looked at 50 elements, but they report results for --
6 positive results for 8 metals, and nickel is 1 of the 8,
7 where there was an 11 percent increase in low birth weight
8 over the follow up period associated with an interquartile
9 range, which was like 0.002 micrograms.

10 OEHHA STAFF TOXICOLOGIST BROWN: That's a
11 published study?

12 PANEL MEMBER EISEN: Yes. It's published in
13 Epidemiology in 2010.

14 It's a PM.

15 OEHHA STAFF TOXICOLOGIST BROWN: A PM paper,
16 okay.

17 PANEL MEMBER EISEN: But it does seem relevant,
18 in part because of the outcome. And it's a good study. I
19 don't really -- and they used the air monitors. And so I
20 mean, I can't -- so it's ecologic. It's not individual
21 level exposure. And I don't really know how good, but
22 judging from the authors -- judging from the authors, it
23 was state of the art, sort of, you know, PM2.5 studies in
24 2010.

25 And they used a -- they treated the variables as

1 continuous, I think, in the model, so there's no
2 categorization. And they don't present the results of the
3 models in ways that you would probably be able to use them
4 easily, because all they do is present the change in the
5 outcome per interquartile range, you know, no regression
6 coefficients or anything.

7 But I bet those data you could get from the
8 authors.

9 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yes.

10 PANEL MEMBER EISEN: That's all. I mean, I
11 thought, you know, the literature wasn't very good. And I
12 thought you reviewed it okay. I mean, I don't disagree
13 really with anything that Bill said.

14 CHAIRPERSON FROINES: Finished?

15 PANEL MEMBER EISEN: Yep.

16 CHAIRPERSON FROINES: Paul.

17 PANEL MEMBER BLANC: Let me start with the most
18 recent study of the ones we've talked about.

19 I actually see a reverse problem with the
20 fibrosis paper. And that is that they adjusted for age.
21 Age is not related to pulmonary fibrosis. Age is very
22 related to cumulative years of exposure. So I think they
23 actually over adjusted.

24 PANEL MEMBER EISEN: You can't over-adjust.

25 PANEL MEMBER BLANC: Yes, you can, because if you

1 put in a surrogate for exposure as an adjustment factor,
2 it will reduce the effect of your exposure. How can you
3 say that?

4 PANEL MEMBER EISEN: Well --

5 PANEL MEMBER BLANC: Well, you just said it, but
6 I don't agree with you at least. And I've seen --

7 PANEL MEMBER GLANTZ: I agree with Paul.

8 PANEL MEMBER BLANC: And I've seen studies where
9 that's done. And also, by the way, smoking is not related
10 to ILO graded opacities either. So I don't really care
11 whether they adjusted for smoking or not. But I'm just
12 saying, you have a good argument to use the unadjusted.

13 PANEL MEMBER EISEN: I mean, they have opacities
14 in their reference group, you know, and they attribute
15 that to smoking and age.

16 PANEL MEMBER BLANC: I understand. That makes it
17 -- and they're not right. They're not correct. That's
18 not the --

19 PANEL MEMBER EISEN: 2.3 percent.

20 PANEL MEMBER BLANC: I assume that some of their
21 referents have other dusts that are associated with --

22 PANEL MEMBER EISEN: Like putting in nickel,
23 because there's not --

24 PANEL MEMBER BLANC: So, you know, from that
25 point of view, I don't -- it's an interesting study, but I

1 don't think you're forced to -- I think you can certainly
2 make an argument that the age adjustment has its own set
3 of problems.

4 But I think the issue with the asthma study --
5 let me get at it by asking you a question. If you were
6 doing an REL for all toluene diisocyanate, would you use
7 the dose response for bronchospasm in people known to be
8 sensitized to toluene diisocyanate as your exposure
9 metric?

10 Because this is not a study of people who
11 generically have asthma and are they more responsive to
12 nickel than non-asthmatics? These are people who have
13 occupational asthma to nickel, who are responding to
14 nickel. Is that the model that you want to use for an
15 REL?

16 I think that that's got kind of a fundamental
17 issue. You know, we know that people who are sensitive to
18 TDI are 1,000 times more -- you know, they're at the level
19 at which they'll respond. None of the -- certainly, none
20 of the occupational standards are meant to protect people
21 who are already sensitized to TDI from TDI. So I realize
22 we're not talking about an occupational standard here.

23 But is this really a relevant, acute, effect
24 model? Whereas, I fully agree that, let's say if you were
25 looking at sulfur dioxide exposure, which we know

1 asthmatics, generic asthmatics, respond to it, you know,
2 an order of magnitude lower than non-asthmatics, that
3 that's completely appropriate.

4 OEHHA SUPERVISING TOXICOLOGIST MARTY: You know,
5 it is an issue that we've batted around. For TDI, I'm not
6 entirely certain we do it. But a couple of things.

7 First of all, those people who are nickel
8 sensitized are part of the general population, so they are
9 out there. They're running round. And there are a fair
10 number of people who are sensitive to nickel from -- in
11 terms of having a dermal reaction, whether those people
12 also, if they happen to be asthmatic, are going to be more
13 sensitive.

14 PANEL MEMBER BLANC: I want to come back to that
15 in a second.

16 OEHHA SUPERVISING TOXICOLOGIST MARTY: Okay. So,
17 you know, what I'm thinking is, well, what if those people
18 are also -- if they happen to be asthmatic are going to be
19 more sensitive to nickel inhaled?

20 I don't think anyone can answer that. So, you
21 know, it may be on the conservative side, but we didn't
22 want to just discount it.

23 PANEL MEMBER BLANC: Well, I think there needs to
24 be, in fact, some discussion in the document about contact
25 dermatitis and what that means, and its potential

1 relationship to airway responses. But, in fact, it's a
2 very poor correlation between nickel sensitivity -- skin
3 sensitivity manifested as contact dermatitis, and nickel
4 related asthma. You go so far as to quote Ben Nemery in
5 that regard anyway.

6 But there's no coherent discussion of that. I
7 mean, I think the whole issue of nickel is probably the
8 most common skin sensitizer in the general population.
9 And you quote a very interesting paper, which shows that
10 the prevalence of sensitization actually appears to have a
11 temporal trend. Those authors hypothesize that might be
12 due to the prevalence of piercing with nickel-containing
13 metals.

14 And I was glad to see that paper cited. The
15 other discussion is lacking, but I think that you're -- I
16 think you're making yourselves vulnerable, because it's
17 just too far out there -- or if you want to stick with it,
18 you would have to jump through a lot of hoops of making it
19 clear that you better understood how tenuous the basis of
20 using it.

21 I know you have your own trepidations. Frankly,
22 this is one case, as opposed to the previous thing we
23 discussed today, where I think if this is all you've got,
24 then it would be better not to have an acute REL at all,
25 than use this, because I think it's just -- now, there may

1 be other studies that you were closer to using. In your
2 presentation, I know there were things you used to back-up
3 the chronic REL, but I don't remember something else as
4 your back-up for the acute.

5 OEHHA STAFF TOXICOLOGIST BROWN: No, there wasn't
6 anything.

7 PANEL MEMBER BLANC: But I just think it's not --
8 I'm not sure it's the precedent that you want to set. And
9 you know that my own tendency is to be conservative in
10 these things, but I just think the model is not -- I don't
11 know if it makes sense.

12 And, Melanie, if your answer to yourself is I
13 wouldn't use the TDI example, then I think you have to be
14 consistent.

15 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah.
16 Well, I guess the reason I threw that out there is because
17 I know that people who are sensitive to TDI can be
18 incredibly, ridiculously, remarkably sensitive to the
19 presence of TDI. I don't know that that's the case for
20 nickel.

21 PANEL MEMBER BLANC: Well, it's generally true
22 that once you're sensitized to something, the anamnestic
23 response is quite impressive. I mean, orders of magnitude
24 typically less than what people will respond to if you're
25 talking about irritant versus an allergic mechanism.

1 OEHHA SUPERVISING TOXICOLOGIST SALMON: Yeah.
2 The key issue is, I think, is how prevalent in the general
3 population is at least some degree of respiratory
4 sensitization to --

5 PANEL MEMBER BLANC: Yes.

6 OEHHA SUPERVISING TOXICOLOGIST SALMON: We don't
7 know that, but I think in the case of TDI we have a number
8 of reasons for supposing that the prevalence in the
9 general population is pretty low. But in nickel -- there
10 are certainly -- you know, there certainly are background
11 exposures to nickel. So it's not inconceivable that
12 they're --

13 PANEL MEMBER BLANC: Well, we know that nickel
14 skin sensitization is extremely common and has become more
15 common, but --

16 OEHHA SUPERVISING TOXICOLOGIST SALMON: Yes. And
17 so even if the nickel sensitization in the respiratory
18 system doesn't correlate with skin sensitization,
19 nevertheless it's not impossible that there's a measurable
20 or even substantial amount of it there. It's just --

21 PANEL MEMBER BLANC: There's no evidence at all
22 that there is.

23 OEHHA SUPERVISING TOXICOLOGIST SALMON: We don't
24 have a good --

25 PANEL MEMBER BLANC: I mean, it's -- you know,

1 you're talking about a theory of a theory of a theory at
2 this point. So I think you're -- I think you're just
3 skating on pretty thin ice. Whereas, the model of this is
4 something which asthmatics will -- generic asthmatics will
5 respond to more than the non-asthmatic population, that's
6 a different question.

7 Now, if you have any data at all that would
8 suggest the prevalence of nickel asthma has increased,
9 it's really an uncommon occupational asthma too, as far as
10 that goes. You know -- and also, you know, our
11 understanding of metal related asthma and all that it
12 might be anyway. So, you know, it's a very complicated
13 question.

14 OEHHA SUPERVISING TOXICOLOGIST SALMON: It's
15 complicated and there's a lack of data, but it's also
16 potentially quite an important issue, in terms of
17 protecting public health. And I mean I know for a fact
18 this exact same debate has come up in the discussion of
19 platinum-induced asthma as well.

20 PANEL MEMBER BLANC: Yeah, but for -- well, yes,
21 okay.

22 OEHHA SUPERVISING TOXICOLOGIST SALMON: Which,
23 you know, I mean, it's -- I'm not saying we have a good
24 answer. I'm just saying it's a question that keeps coming
25 up.

1 PANEL MEMBER BLANC: Yeah. So that's my 2 cents
2 on that.

3 Just a few other small things. And I think it
4 only dovetails with some of the organizational questions.
5 But in this general source of exposure discussion, which
6 you've already heard could be updated and a little
7 clearer. And you have food and you have water and all of
8 that.

9 And then sort of hanging out there, you have the
10 paper about the prevalence of skin sensitization in the
11 food section. What was the logic behind that? Why didn't
12 you just have a skin section, then you can talk about
13 exposure to the skin, if that's what you want to do? Or
14 talk about that study when you talk about health effects.
15 But I didn't understand that.

16 I also didn't -- also, as a question of sort of
17 ubiquity of exposure, you have the sentence about nickel
18 is present in mainstream smoke. I don't actually
19 understand why for some things you give a one estimate and
20 for some you give a range that may have to do with how the
21 data you had, but it's certainly not obviously.

22 But does that mean you have no data on nickel in
23 secondhand smoke. Because by only presenting it for
24 mainstream smoke, it might be misread that therefore it's
25 not in secondhand smoke. Is there no such data at all?

1 OEHHA SUPERVISING TOXICOLOGIST MARTY: No, I'm
2 sure there's data.

3 OEHHA STAFF TOXICOLOGIST BROWN: You know, he's
4 probably given some --

5 PANEL MEMBER NAZAROFF: I sent them some
6 references on that.

7 OEHHA STAFF TOXICOLOGIST BROWN: I think I got 25
8 references.

9 OEHHA SUPERVISING TOXICOLOGIST SALMON: Okay. We
10 can fill that one out.

11 PANEL MEMBER BLANC: And I assume by the way,
12 this off hand thing about it may be because there's nickel
13 in bunker fuel, that there's more in Long Beach? You
14 know, that sort of parenthetic comment. Does that mean
15 you don't think there's any nickel in diesel smoke and
16 diesel exhaust?

17 OEHHA STAFF TOXICOLOGIST BROWN: No, but we do
18 cite a figure in there from the South Coast Air Quality
19 Management District on measurement of --

20 PANEL MEMBER BLANC: No, I know. And then you
21 say in parentheses, "This maybe because of bunker fuel.
22 I would hazard a guess, that it's as much from
23 idling diesel trucks, if it's in diesel exhaust.

24 OEHHA STAFF TOXICOLOGIST BROWN: Yeah, it could
25 be.

1 CHAIRPERSON FROINES: I think that the bunker
2 fuel, we've actually pretty much characterized that and
3 the issue with bunker fuel is vanadium not nickel.

4 PANEL MEMBER BLANC: I assume that nickel is not,
5 in any way, an essential nutrient, is that correct?

6 OEHHA SUPERVISING TOXICOLOGIST SALMON: I think
7 there is some --

8 OEHHA STAFF TOXICOLOGIST BROWN: I think it is.

9 OEHHA SUPERVISING TOXICOLOGIST SALMON: I think
10 there is some use. Yeah, it's one of those ACDC ones, I
11 think.

12 PANEL MEMBER BLANC: Can you comment at least on
13 that in a sentence somewhere, if that's true or not true,
14 in your food section or your dietary section.

15 OEHHA STAFF TOXICOLOGIST BROWN: I think it is,
16 but I think there's probably been some arguments about it
17 too.

18 OEHHA SUPERVISING TOXICOLOGIST MARTY: That's
19 what I remember.

20 OEHHA SUPERVISING TOXICOLOGIST SALMON: It's one
21 that people argue about like arsenic.

22 PANEL MEMBER BLANC: Somebody argues that arsenic
23 isn't?

24 OEHHA STAFF TOXICOLOGIST BROWN: Oh, yeah.

25 PANEL MEMBER BLANC: I thought only if you're a

1 bacterium living on the edge of a volcano.

2 OEHHA SUPERVISING TOXICOLOGIST SALMON: Only if
3 you're a bacterium or certain --

4 PANEL MEMBER BLANC: I mean a human -- it's not a
5 human essential nutrient, I don't think.

6 OEHHA SUPERVISING TOXICOLOGIST SALMON: I don't
7 believe so, but some people disagree.

8 PANEL MEMBER BLANC: Anyway, those are my main
9 comments. The thing I feel most strongly about would be
10 that I personally -- my disbelief is not suspended about
11 Cirla application.

12 CHAIRPERSON FROINES: Jesús.

13 PANEL MEMBER ARAUJO: Yeah. I don't really have
14 much to add, other than curing about the need of updating,
15 and including some of the population based studies and air
16 pollution studies.

17 However, it is problematic also, because the role
18 of nickel and in PM related health effects is still quite
19 controversial. And even as much as I believe that you
20 should include and mention about the cardiovascular
21 effects, for instance, and even though there are studies
22 and the studies from NYU that are pointing out to very
23 specific effects, and there are other studies that are not
24 confirming those same effects.

25 So I think that mentioning those, on one hand,

1 sort of to give the framework a stand is sane, but not in
2 such a strong way as you will be taken then to regulate.
3 So I think it is still early to take the air pollution
4 studies as a base for regulation. But I think that it is
5 important to mention them as a way of giving a framework.

6 And similar concepts, I would say, relates to all
7 the concepts that we are having -- talking about the
8 particle size and particle -- and the importance of lung
9 retention. And as much as we want to believe in all these
10 different concepts and the solubility of the particles and
11 the soluble versus the insoluble and penetration and
12 system translocation, et cetera, there is still so much
13 information that it is controversial, that I don't think
14 this would be the document to tackle that.

15 I mean, I understand that you having -- perhaps
16 having that hesitation, because if you want to -- if want
17 to tackle the role of nickel, and environmental exposures,
18 so you're just getting to the whole arena of the PM, and I
19 think that it is still premature to do that.

20 So it's a delicate balance. And I would move it,
21 like you have it at the very end. I would move it up
22 front. I would use it as a framework, but I will be
23 hesitant in taking that data for regulation. Unless,
24 there are very strong studies, like the one that you're
25 mentioning with the low birth, that we feel that and --

1 PANEL MEMBER EISEN: Well, I think it would be
2 useful actually to look at the exposure levels in this
3 Bell paper and just see how they compare to what you're
4 finding in the occupational and animal studies. I suspect
5 they're a lot lower.

6 PANEL MEMBER BLANC: I forgot one thing.

7 You know, you have this comment a few pages in,
8 "We won't be talking about nickel carbonyl.

9 OEHHA STAFF TOXICOLOGIST BROWN: That's right.

10 PANEL MEMBER BLANC: And I think that is
11 reasonable not to deal with it, because you'd have a --
12 probably have an REL that was 100-fold lower. But what I
13 would -- the way I would handle that is I would include
14 nickel carbonyl in your table of nickel-containing
15 materials, and then have an asterisk, and then say will
16 not be considered further here. Because I looked at that
17 table, and my first assumption is where is nickel carb.
18 And then a few pages later, you know, it was sort of
19 buried.

20 OEHHA SUPERVISING TOXICOLOGIST SALMON: Or maybe
21 we should put a REL for it.

22 OEHHA SUPERVISING TOXICOLOGIST MARTY: Not now,
23 Andy.

24 OEHHA SUPERVISING TOXICOLOGIST SALMON: Not now
25 right now.

1 CHAIRPERSON FROINES: Jesús, are you finished?

2 PANEL MEMBER ARAUJO: Yeah.

3 CHAIRPERSON FROINES: I just will say, in light
4 of what he said, I think you should delete that PM2.5
5 discussion on page 52 and 53. I think it's -- if you're
6 going to do PM, you should do it. But if you're not, you
7 shouldn't have this rather limp discussion of ROFA, which
8 just doesn't fit. It's just apples and oranges, because
9 it doesn't say anything. And when you're all finished, it
10 doesn't say anything.

11 PANEL MEMBER BLANC: It spills over.

12 CHAIRPERSON FROINES: It's just speculative in a
13 way that's not very helpful, I think, without -- unless
14 one goes into greater depth about the issue and that's not
15 what this document is about.

16 Alan.

17 PANEL MEMBER BUCKPITT: I don't have a lot to add
18 to the discussion. But certainly some tables up front for
19 me would have been helpful to tell me where you were going
20 with the document.

21 Looking at the document, it seemed like a
22 continuous consideration of each of the papers that had
23 been published in an area. And it would have been nice to
24 have some summary tables to kind of ground that
25 discussion. So that would be my suggestion from the

1 report.

2 CHAIRPERSON FROINES: Sarjeet.

3 PANEL MEMBER GILL: I don't have much to add
4 actually. Only 2 things, which I think will emphasize
5 again. An overall framework would be very good. And
6 secondly, the one actually -- the absence of actually a
7 good study for REL. You'd rather not have one at this
8 present moment. It looks like it's actually relatively
9 weak for you to present one. And you yourself concur with
10 it, so I would not actually present it, unless you have
11 really good information with that.

12 OEHHA SUPERVISING TOXICOLOGIST MARTY: We're
13 going to take a look again at the animal data. There are
14 some animal studies that might end up being useful. In
15 fact, we actually cite one in here, Graham. It's not the
16 best idea. We had to use humongous uncertainty factor.

17 PANEL MEMBER GILL: But actually, Paul is
18 correct, in the sense you have to be very careful in what
19 you're doing. And you really otherwise again using the
20 words Paul really difficult situation there.

21 CHAIRPERSON FROINES: Stan.

22 PANEL MEMBER GLANTZ: I don't have anything more.

23 CHAIRPERSON FROINES: Okay. Melanie, I'm the
24 last one. And I sent you my thoughts. I think that you
25 should not have the discussion on signaling pathways in

1 the immunotoxicity section. It should be in a mechanism
2 section.

3 And I think that -- I've given you a simple chart
4 that I think is relatively reasonable. And so I think
5 that what you want to do is talk about MAP Kinase and
6 transcription factors and EGFR as being activated or
7 deactivated by reactive oxygen species or electrophiles.
8 And that sets in motion a process that leads to
9 cardiovascular effects and asthma and disease.

10 And so that the role of the MAP Kinase research
11 really is in the context of mechanistic determinations and
12 not in the context of immunotoxicity

13 And so you've seen my little chart there that I
14 developed. So I'd leave that as a recommendation, but I
15 think it deserves to be in a mechanism section rather than
16 in immunotoxicity. There's other papers in that section
17 that are immunotoxicity. But when -- I also think that we
18 need a context for mechanism. And I think that what I
19 wrote was reasonable if a bit oversimplified obviously.

20 I could have made a much bigger chart, but then
21 that wouldn't have been helpful. And I do -- I think you
22 should take out the genotoxicity section. You may have it
23 in there for some reason, but we're not talking about
24 cancer. So it doesn't mean that genotoxicity is only
25 relevant to cancer. So maybe that's your reason.

1 But my sense is that the genotoxicity doesn't add
2 anything to this document. And so there needs -- it needs
3 to serve a purpose. And if it doesn't serve a purpose,
4 why would we put it in?

5 OEHHA SUPERVISING TOXICOLOGIST MARTY: Well, I
6 think you actually nailed it with what you said two
7 sentences ago, that genotoxicity is viewed as relevant
8 only to cancer, but that, in fact, isn't true.

9 So I think --

10 CHAIRPERSON FROINES: But if you --

11 OEHHA SUPERVISING TOXICOLOGIST MARTY: -- that's
12 one reason it's in there. And nickel has a lot of studies
13 on genotoxicity. And it is --

14 CHAIRPERSON FROINES: But you have a cancer
15 document where that's where it's particularly relevant.
16 And the question is unless you connect genotoxicity with
17 some consideration of mechanism of action, then it just
18 sits there by itself and doesn't have a context. And
19 that's what concerns me is I don't know what to do with
20 that genotoxicity information. It has the -- everybody
21 says ROS causes everything. And it doesn't, as we know.
22 And so you emphasize the ROS role in genotoxicity, but I
23 don't think that's really what's going on, or at least not
24 solely what's going on.

25 And so my concern is that it doesn't serve a

1 purpose. It's just information for information's sake.
2 And so that's what I think.

3 PANEL MEMBER BLANC: Are there any papers that
4 you have where they looked at genotoxicity and adverse
5 reproductive outcomes in some way. For example, if
6 that's the -- I think that's where you're going with this
7 as being the key non-cancer thing. So, I mean, if you had
8 a paper that made that linkage for nickel that they were
9 sort of parallel endpoints.

10 OEHHA STAFF TOXICOLOGIST BROWN: I can't think of
11 one offhand, but I can take a look.

12 CHAIRPERSON FROINES: That's basically my point,
13 that unless you connect it somehow, it sits out there on
14 its own on a desert island.

15 PANEL MEMBER BLANC: Or you might, just what you
16 said, which was move the table to an appendix, but then
17 have two sentences in the text that say we provide in
18 appendix a summary of the genotoxicity and we put it there
19 because genotoxicity, in addition to being relevant to
20 cancer risk, has been shown, in general reviews, to be
21 relevant to adverse reproductive outcomes in particular,
22 and therefore --

23 PANEL MEMBER GILL: Did you do a search of
24 epigenetic effects of nickel, by any chance?

25 OEHHA STAFF TOXICOLOGIST BROWN: Yes. There's a

1 section in here on that. But that's not tied -- well,
2 there's a few focusing on immunotoxic endpoints as Dr.
3 Froines mentioned, but a lot of it is not specifically
4 tied to a toxic endpoint.

5 But your discussion on the oxidative thing is
6 useful, I think, with respect to the lung, because there
7 it would be nice to have some more --

8 CHAIRPERSON FROINES: In our laboratories, we've
9 shown that this pathway works. I mean, it's not -- I'm
10 not making it up. It actually -- we've seen ERK, MAPK,
11 MEK and everything.

12 OEHHA STAFF TOXICOLOGIST BROWN: Is there a cite
13 on that?

14 CHAIRPERSON FROINES: What?

15 OEHHA STAFF TOXICOLOGIST BROWN: Is there
16 something we cite on it?

17 CHAIRPERSON FROINES: Yeah, I'll have to send it
18 to you. I'll send you the reference. I don't have it in
19 my head.

20 OEHHA STAFF TOXICOLOGIST BROWN: That would be
21 great. Thank you.

22 CHAIRPERSON FROINES: So where are we?

23 There have been a number of changes recommended,
24 so we have to have the same discussion we had earlier.

25 PANEL MEMBER BLANC: Well, I think you

1 basically -- it's kind of the mirror image of the other
2 one. You have to come to a decision about how you're
3 going to handle this acute REL, and then we need to see
4 the document so we can determine -- you know, have a
5 formal resolution to comment on it. It's a scientific
6 thing. I think it's premature for us to do that just yet.

7 OEHHA SUPERVISING TOXICOLOGIST MARTY: Exactly.
8 So we'll come back to the Panel with a revised draft.

9 CHAIRPERSON FROINES: Okay. Okay. Well, thank
10 you very much.

11 We're doing great.

12 Jim Behrmann?

13 Melanie?

14 Where is Jim.

15 PANEL LIAISON BEHRMANN: Yes.

16 CHAIRPERSON FROINES: Are you and Melanie
17 prepared to spend a few minutes talking about what we
18 proposed, about the proposal for the administrative issues
19 with the leads?

20 PANEL LIAISON BEHRMANN: We could so, if
21 that's --

22 CHAIRPERSON FROINES: I asked Jim and Melanie to
23 discuss, amongst themselves, how best the timing should be
24 for submissions from -- how best to handle the timing of
25 the process, because we were getting things close to

1 deadlines, industry can always do that. There's no reason
2 that they can't.

3 But in terms of the role of the leads, it seemed
4 like we wanted to give the leads substantial time, so that
5 they could actually give feedback to the OEHHA and in a
6 reasonable time frame. So that's context for this
7 discussion. So it's all yours.

8 PANEL LIAISON BEHRMANN: Thank you. Thanks,
9 John. I'm Jim Behrmann. I'm liaison to the Panel.

10 We just used -- we can use the nickel document
11 perhaps as an example. We had a discussion, an Email
12 discussion, among several of us, including Bill, Ellen,
13 John and myself, about what are appropriate time frames.

14 And I think it's going to be somewhat dependent
15 upon the document that's coming forward. But I think we
16 can give some general guidance or some general suggestions
17 of what kind of time frames we would propose, at least
18 from a staff standpoint.

19 And by different types of documents, let me just
20 briefly say the types of documents that come before this
21 panel, for the benefit of some of the new members, range
22 from the type of REL documents that you saw at this
23 meeting, which probably were on the long side for REL
24 documents. They range all the way up to documents for
25 toxic -- proposed toxic air contaminants, which are

1 actually probably 10 times longer. They're -- I don't
2 want to use the word huge, but they're large.

3 And they're large -- as I've mentioned to some of
4 you, they're large because the way State law reads the law
5 specifies that the documents shall be an evaluation of all
6 available scientific data regarding a particular chemical.
7 And we've been advised by our legal counsel that to avoid
8 possible legal challenge, the documents need to be truly
9 that. They need to be an exhaustive discussion of a
10 particular chemical.

11 Getting back to the proposed time frames that
12 we've been discussing. The thought is kind of working
13 back from a meeting date. We generally try to issue a
14 public notice and release -- the Department would release
15 its particular document approximately 30 days prior to the
16 meeting.

17 We're required by law only to give a 10-day
18 public notice, but we've found in the past that that's
19 generally not acceptable, in that you've got stakeholders
20 and people that are interested in a topic, and especially
21 if they're traveling from distances, and finally, because
22 we want to encourage them to comment to the Panel early,
23 giving a 10-day notice is simply not appropriate.

24 So working back from a meeting date, we have a
25 30-day public notice and the document being made available

1 to the public. Prior to that 30 days then, we can set
2 whatever time frames work for the Panel. In this
3 particular case, I think we provided the document two
4 weeks earlier, did we, Melanie?

5 OEHHA SUPERVISING TOXICOLOGIST MARTY: (Nods
6 head.)

7 PANEL LIAISON BEHRMANN: -- to the leads? But we
8 can adjust that. We can make it -- we can make the
9 document available to the leads a month before the report
10 goes public. So then we're talking about a two-month time
11 frame.

12 I think that's probably the short -- roughly the
13 shortest we want to make it. For a toxic air contaminant
14 document, you want a much longer time period, where the
15 leads are interacting with the staff for the Department
16 that's authoring the report.

17 So the time frame then is say two to three months
18 prior to a document coming to a meeting.

19 CHAIRPERSON FROINES: Did you say you're talking
20 about a TAC now?

21 PANEL LIAISON BEHRMANN: Say a TAC document or
22 any document. We would work -- I would work or we would
23 work with the leads and with the Department that's
24 responsible for that particular report to come up with a
25 time frame, remembering or recognizing the fact that the

1 Departments actually are beginning work on these documents
2 a year, even two years prior to them coming before the
3 Panel.

4 The departments will go through this exhaustive
5 evaluation. They'll go through a public workshop process,
6 often multiple workshops. And the documents are made
7 available to the public for their review and comment. The
8 departments, over time then, will revise their draft
9 documents, and with the end result or with the goal of
10 producing an SRP, a Scientific Review Panel, review draft
11 that would then come to a Panel meeting.

12 So I'm not sure how much...

13 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah. The
14 only thing I would like to just -- I think everybody would
15 understand this, but just to sort of get it on the record,
16 is that the SRP leads obviously should not be part of the
17 process of developing the SRP review draft. That's done
18 by the Department with public input.

19 PANEL MEMBER BLANC: Right.

20 OEHHA SUPERVISING TOXICOLOGIST MARTY: Then it
21 goes to the Panel leads. So we don't want you guys to get
22 involved, prior to that, because then you're not really
23 viewing it, you're helping build the document. So
24 that's -- you know, we have to be a little bit careful
25 there.

1 You know, like some of the REL documents have
2 been really short, you know 10 to 15 pages. It doesn't
3 take that much to review the chemicals that have hardly
4 any literature. And some of them, like nickel, was
5 probably the longest reference exposure level document
6 we've ever done.

7 CHAIRPERSON FROINES: I would argue that for a
8 REL document or some other document that has -- is smaller
9 in scope, that having the leads get the document eight
10 weeks before the meeting would be an appropriate lead
11 time.

12 For a TAC document, I don't -- I think it's hard
13 to set a date. Because with diesel, for example, the
14 Panel had a workshop that we organized. And so diesel
15 took 10 years, and that -- so that -- so we need -- the
16 Panel needs to know when a TAC document like that is
17 coming down the road far in advance of eight weeks, so we
18 can make -- define a strategy for ourselves as to how we
19 want -- do we want a workshop, do we not want a workshop,
20 do we want to have more than one workshop, or whatever?

21 So that means that we need a time frame that
22 gives us that opportunity. And I don't think we can set a
23 date -- a timeline on that. But with that kind of goal in
24 mind, we can operate that way, but I think the eight weeks
25 for the REL-type documents is reasonable.

1 PANEL MEMBER BLANC: Melanie, do you feel there
2 are limitations in terms of public meetings, such as this,
3 for what you can say about what's coming down the pike?

4 OEHHA SUPERVISING TOXICOLOGIST MARTY: No. We
5 can tell you what's coming down the pike.

6 PANEL MEMBER BLANC: So can you share that with
7 us and maybe that would help give concrete substance to
8 this discussion?

9 OEHHA SUPERVISING TOXICOLOGIST MARTY: Sure. We
10 have several reference exposure levels in various stages
11 of development. The isocyanate we actually had a public
12 review, but then we lost our staff person to a terrible
13 accident. He died. So we haven't picked that up yet.
14 That is next, after we get rid of caprolactam -- not get
15 rid of, excuse me, after caprolactam is completed.

16 And then we have the last piece of the Air Toxics
17 Hot Spots Risk Assessment Guidelines, which is the
18 exposure assessment document, that's almost in the -- that
19 has a special requirement to be reviewed by the Air Board
20 and the California Air Pollution Control Officers
21 Association. It's almost there, or is it there, Bob?

22 OEHHA SUPERVISING TOXICOLOGIST BLAISDELL: It's
23 almost there.

24 OEHHA SUPERVISING TOXICOLOGIST MARTY: It's
25 almost there. So the next few days it will go to them.

1 They get a certain amount of time. Then it has to go
2 through public review. Then we have to respond to the
3 public comments, and then it comes the Panel.

4 CHAIRPERSON FROINES: Would that document be
5 appropriate to have Kathy and Bill be the leads, because
6 it's an exposure document.

7 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah.

8 CHAIRPERSON FROINES: I'm not volunteering you.
9 I'm just --

10 PANEL MEMBER NAZAROFF: No, of course you're not.
11 (Laughter.)

12 OEHHA SUPERVISING TOXICOLOGIST MARTY: I think we
13 had -- I think we already had Kathy -- I think Kathy
14 already knows that that document is coming, I think.
15 Yeah, and Stan was one of the leads the last time. So
16 this is a revision of the '99 --

17 CHAIRPERSON FROINES: So you want to stick with
18 that, Kathy and Stan?

19 PANEL MEMBER GLANTZ: Yeah. I thought Kathy and
20 I volunteered at some previous meeting to do that.

21 CHAIRPERSON FROINES: Okay. Bill is off the
22 hook.

23 PANEL MEMBER BLANC: What else?

24 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah. And
25 so then we have --

1 PANEL MEMBER GLANTZ: Unless Bill is dying to do
2 it.

3 (Laughter.)

4 OEHHA SUPERVISING TOXICOLOGIST MARTY: We have
5 reference exposures levels for several other chemicals.
6 They're not -- they haven't even gone through my review
7 yet. So that's further out. So in the next couple of
8 months, it would just be -- or between now and the end of
9 this year, it just be the TDI MDI and the Hot Spots
10 Guidelines.

11 PANEL MEMBER BLANC: And then what are the other
12 things just generically, so we get a sense of what you're
13 thinking about.

14 OEHHA SUPERVISING TOXICOLOGIST MARTY: We have, I
15 to have remember this, toluene, butadiene --

16 OEHHA SUPERVISING TOXICOLOGIST SALMON: Possibly
17 benzene, possibly naphthalene.

18 OEHHA SUPERVISING TOXICOLOGIST MARTY: Possibly
19 benzene and possibly naphthalene, reference exposure
20 levels.

21 OEHHA SUPERVISING TOXICOLOGIST SALMON: And
22 possibly PCB numbers.

23 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah,
24 maybe

25 OEHHA SUPERVISING TOXICOLOGIST SALMON: Maybe.

1 PANEL MEMBER BLANC: And then, just as an
2 example, so in passing was the comment Andy made of metal
3 carbonyls, nickel and others. I mean, is that something
4 that would ever -- that would have to be something that
5 would have to named a toxic air contaminant or is it
6 already a toxic air contaminant?

7 OEHHA SUPERVISING TOXICOLOGIST MARTY: I believe
8 nickel carbonyl is probably already a toxic air
9 contaminant, because of the way the listing is, it's
10 nickel and nickel compounds. So it's probably already a
11 TAC.

12 PANEL MEMBER BLANC: So just from a process point
13 of view, the reason why you brought nickel for a
14 non-cancer endpoint document now was because you wanted to
15 get it on the childhood list?

16 OEHHA SUPERVISING TOXICOLOGIST MARTY: It had
17 ranked pretty high when we did that ranking in 2001.

18 OEHHA SUPERVISING TOXICOLOGIST SALMON: It was in
19 the next 15 as opposed to the Filthy 5.

20 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah.

21 PANEL MEMBER BLANC: And are there any -- and
22 you've done several others in the next 15. Are there any
23 others in the next -- is the benzene and all of those, are
24 those where that's coming from?

25 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yes,

1 that's where that's coming from.

2 PANEL MEMBER BLANC: And so to come back to a
3 question I asked earlier in the day. Are there any
4 substances for which there isn't currently -- which is not
5 currently a TAC already, which you believe should be
6 coming down the pike as a full-bore toxic air contaminant
7 assessment?

8 OEHHA SUPERVISING TOXICOLOGIST MARTY: The Air
9 Board is responsible for requesting us to do a health
10 effects assessment. And I think you'll remember that the
11 Panel, at one point, was working with ARB on a
12 prioritization process.

13 PANEL MEMBER BLANC: Yes.

14 CHAIRPERSON FROINES: For 12 or 13 years.

15 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah. And
16 so it's up to the Air Board, who I can't really speak for,
17 to finish that process, and then they would have a list of
18 candidate TACs that they would proceed on and that they
19 would ask to us do a health effects assessment on.

20 PANEL MEMBER BLANC: So that's the only way in
21 which such a document would be initiated would be at the
22 behest of the ARB?

23 OEHHA SUPERVISING TOXICOLOGIST MARTY: Right, if
24 it's not already a TAC. But we have all those HAPs that
25 got listed as TACs years ago now that didn't have any

1 quantitative risk assessments, so there was no way for the
2 ARB to look and say it's a problem, it's not a problem.
3 So we've been slowly working through. They're trying to
4 work through at least some of those.

5 PANEL MEMBER BLANC: Are any of those on your
6 horizon?

7 OEHHA SUPERVISING TOXICOLOGIST MARTY: Well, I'm
8 not sure what -- all the ones that are coming up are TACs.
9 I'm not sure whether they're TACs because they're HAPs or
10 they're TACs because they got listed as the first 23.
11 Benzene got listed separately before that statute. But I
12 think toluene and -- did we do a separate document on
13 butadiene --

14 OEHHA SUPERVISING TOXICOLOGIST SALMON: Yes, we
15 did.

16 OEHHA SUPERVISING TOXICOLOGIST MARTY: -- as a
17 TAC?

18 OEHHA STAFF TOXICOLOGIST BROWN: Yes.

19 CHAIRPERSON FROINES: Yes, we did.

20 PANEL MEMBER BLANC: Well, but I'm sort of asking
21 about something which isn't on the childhood list, for
22 example, particularly, isn't in that first 25 for
23 children, but nonetheless is a TAC for which you've never
24 done any quantitative risk assessment for which --
25 therefore, without which there's never going to be any

1 strategy from ARB to address. So are there any of those
2 around?

3 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah,
4 there are a few, but they're not ready to come close to
5 the Panel.

6 PANEL MEMBER NAZAROFF: John or Paul, can I -- I
7 remember from -- regarding the ARB and the priority
8 listing from the last meeting you had asked if I would
9 serve, and I don't whether there was somebody else
10 involved, as a liaison with ARB to help in a
11 prioritization effort. And I was contacted by them, I
12 don't remember who though, shortly before our spring break
13 to have a meeting during spring break when I had planned
14 to be away. And I said I couldn't make it at that time.
15 And they were going to return, but the return never
16 happened. So that's a link that I guess we're waiting
17 still for the next action on.

18 CHAIRPERSON FROINES: Well, I think Jim could
19 talk with Janette and see where that sits, because, as I
20 say, it's one area of extreme frustration since they've
21 been working on this prioritization for a dozen -- maybe
22 up to 15 years now, and it's never come forward.

23 And so one could argue, as Paul I think is subtly
24 arguing, that we need chemicals to be brought forward for
25 TAC determination.

1 PANEL LIAISON BEHRMANN: John, just to clarify, I
2 think as Janette and maybe Richard Corey explained in the
3 meeting back in January, they had a prioritization process
4 set up based upon the language in State law, which
5 specifies certain parameters to be used by the departments
6 to set priorities. That prioritization process, which has
7 been in place and has been revised several times is
8 undergoing this latest revision that you're referring to,
9 which has been going on for some time.

10 Not to -- I think as they -- as the Air Board
11 staff explained in the meeting back in January, they have
12 placed a much higher priority over the last number of
13 years on the regulation writing to actually reduce public
14 exposure to toxic air contaminants that this Panel has
15 already reviewed, primarily diesel and formaldehyde.

16 And I think it's become a much larger resource
17 drain than they had ever expected. But a large number of
18 staff work on actually reducing public exposure.

19 CHAIRPERSON FROINES: That's a good point,
20 because diesel -- they clearly -- diesel was '98. And a
21 lot of regulations had been written, as you say. But the
22 issue is that we named diesel particulate as the TAC, and
23 we need to go back and name diesel vapors and gases,
24 because there's significant evidence that vapors are
25 involved in diesel toxicity. So that's an issue that

1 could be taken up in the near future.

2 PANEL LIAISON BEHRMANN: And having a discussion
3 with the Panel and with ARB staff would allow that issue
4 to be raised.

5 PANEL MEMBER BLANC: Well, Jim, how would you
6 feel about us having in early 2012 a formal one-day
7 workshop on priority setting for identifying toxic air
8 contaminants?

9 PANEL LIAISON BEHRMANN: Well, I personally think
10 workshops work very well for this Panel. We've done it a
11 number of times in terms of pesticides, in terms of
12 diesel, as John had pointed out. I can take that message
13 back to the Air Board staff. I think -- I'm not sure
14 about how formally it would occur, if the Panel would --

15 PANEL MEMBER BLANC: We would initiate it.

16 PANEL LIAISON BEHRMANN: -- initiate or request
17 it.

18 PANEL MEMBER BLANC: We would initiate it and we
19 would bring experts that talk about when you have a whole
20 group of toxic materials, how do you relatively rank, from
21 a public health perspective, what should be targeted
22 first? Is it volume of usage? How do you weigh volume of
23 usage against inherent toxicity against vulnerable
24 population toxicity against multiple roots of exposure?

25 I mean, there's a whole series of things that

1 we've talked about on the Panel. And sometimes we're at a
2 loss. It's not as if we sat around right now and threw
3 out a bunch of chemicals that we think some action needed
4 to happen on. I think we would be doing it in a
5 qualitative way, but that would be hard pressed for any of
6 us to say this -- you know, A, B, C, and D is why that one
7 matters more than another one. But it would be great, I
8 think, to have some outside people come and present their
9 views on -- and that in itself might stimulate --

10 PANEL MEMBER GLANTZ: Well, you know, actually
11 there are a couple of prioritization documents that we
12 approved. I mean, it's been awhile. For the one people
13 talk about the 5 and the next 12, those were chemicals
14 with special effects on kids.

15 But I mean, wouldn't a simpler first step to be
16 to ask the ARB to go, and whoever, to take a look at the
17 last prioritization document, which has been more or less
18 been followed, I think, and just come back to us with an
19 update, and say -- because a lot of the information you're
20 talking about was in there --

21 PANEL MEMBER BLANC: Well, it seems to --

22 PANEL MEMBER GLANTZ: -- and just update it and
23 bring it back, and then we could have a discussion of that
24 and --

25 PANEL MEMBER BLANC: Since they haven't done it

1 in -- they've been working on it for years and years and
2 years.

3 PANEL MEMBER GLANTZ: No, but I think if you --
4 what I'm just saying is if we could ask them to sort of
5 bring it back in early 2012.

6 CHAIRPERSON FROINES: Well, I think that this has
7 been very difficult for that group of people. And they've
8 done -- worked very hard at it. And so they deserve the
9 credit, but they've gotten side-tracked with other
10 responsibilities within ARB.

11 I think Paul is -- I think it would be important
12 to have Janette and her staff make presentations at a
13 workshop like Paul is talking about, but I think we need
14 people from the scientific community who can have ideas
15 about what sorts of things are important and benefit from
16 external input. For example, I mean I would like to argue
17 that there are 100 Michael Addition compounds that are
18 neurotoxic, and one should be taking the Michael Addition
19 compounds up.

20 So, I mean, there are lots of people who have
21 lots of ideas about these kinds of issues. And so I think
22 a workshop would be quite reasonable.

23 PANEL MEMBER GLANTZ: That actually is a good
24 idea to have Janette -- I mean, do what I was talking
25 about --

1 PANEL MEMBER BLANC: In that context.

2 PANEL MEMBER GLANTZ: -- in that context. I
3 think then you get the best of both worlds.

4 PANEL MEMBER BLANC: Both.

5 CHAIRPERSON FROINES: Do you know about --
6 anything about DPR bringing things forward?

7 (Laughter.)

8 OEHHA SUPERVISING TOXICOLOGIST SALMON: If we
9 did, we wouldn't say it.

10 PANEL MEMBER BLANC: The record does not have to
11 show laughter.

12 (Laughter.)

13 PANEL LIAISON BEHRMANN: Well, actually OEHHA and
14 DPR have been the two departments that have been regularly
15 bringing items before this Panel over the last several
16 years.

17 CHAIRPERSON FROINES: But do you know right now
18 whether they have plans for some?

19 PANEL LIAISON BEHRMANN: I do not know what their
20 next TAC pesticide will be or proposed TAC pesticide will
21 be.

22 CHAIRPERSON FROINES: Melanie, do you have -- are
23 you planning anything further on your document on
24 chloropicrin?

25 OEHHA SUPERVISING TOXICOLOGIST MARTY: No.

1 CHAIRPERSON FROINES: No. We have a situation
2 where OEHHA has disagreed with the risk management
3 document that DPR prepared for chloropicrin, which this
4 Panel declared a toxic air contaminant. And when the risk
5 management document was produced, it was contradictory to
6 what OEHHA and this Panel had found.

7 And so the question becomes should we hold a
8 hearing on chloropicrin, which is going to be very
9 controversial?

10 PANEL MEMBER GLANTZ: Yes.

11 PANEL LIAISON BEHRMANN: Yeah. Just to clarify,
12 John, again for the new members here, the Department of
13 Pesticide Regulation proposed identifying chloropicrin as
14 a toxic air contaminant. And so this Panel reviewed that
15 proposed listing. The Panel approved the report. It sent
16 findings to the DPR Director. The DPR Director
17 subsequently listed it as a toxic air contaminant.

18 Now, in State law, that initiates then a second
19 part of the process, both for DPR and also there's a
20 parallel structure set up in State law for the Air Board.
21 Anytime a toxic air contaminant is listed for the Air
22 Board or by the Air Board, State law specifies that a
23 Needs Assessment will be done.

24 In other words, an encyclopedic listing of all
25 the ways in which ambient concentrations of a particular

1 chemical can be reduced. And they start the regulatory
2 process of developing regulations on sources to reduce
3 ambient concentrations, such that the risk to the public
4 is reduced. And that's why there's been this lengthy
5 effort in terms of diesel and formaldehyde, for example.

6 Now, on the DPR side, similarly they do a Needs
7 Assessment, and they look at all the potential sources of
8 chloropicrin, you know, whether it's label requirements,
9 buffer zones, the use of alternatives or whatever.

10 And so DPR, by law, prepared a Needs Assessment
11 and a Risk Management Directive, they call it, and by law
12 had that directive reviewed by OEHHA. And I think what
13 you're referring to is that there was some disagreement
14 between DPR and OEHHA in terms of the specifics of how
15 best to reduce the risk to the public.

16 But I only caution you in that the Panel's role
17 when it comes to risk management is not existent. The
18 Panel's role is to advise the departments in the risk
19 identification for a particular chemical or a pesticide.

20 The risk management side is where, not just DPR,
21 but the Air Board as well, they will weigh costs and
22 benefits. They will basically make a decision or multiple
23 decisions about how best and most cost effectively to
24 reduce the public's exposure to a particular chemical or
25 pesticide.

1 And as you can expect, there is always a wide
2 range of opinion and agreement and disagreement about how
3 best to do that.

4 CHAIRPERSON FROINES: Let me cut you off, because
5 you're taking it to a place where I never said we would
6 want to go, and that is to their risk management
7 decision-making process. But if the Panel, this Panel,
8 decides that chloropicrin was a carcinogen and of
9 significant importance, and OEHHA had the same conclusion,
10 and the risk management directive that's written says that
11 carcinogenicity is equivocal, you have a contradiction
12 between the findings of OEHHA and the SRP.

13 And the question is, should we look into that
14 issue. It's not -- it has nothing to do with all the
15 things you said about setting regulations with buffer
16 zones and tarps. It has to do with the conclusions of the
17 SRP versus the conclusions of DPR on very important
18 issues, for example, the carcinogenicity.

19 PANEL LIAISON BEHRMANN: Again, just a suggestion
20 from my position as liaison to the Panel is that a
21 similar -- you could bring a similar perspective to the
22 regulatory efforts, the risk management efforts of the Air
23 Board. I just --

24 CHAIRPERSON FROINES: We've never had a situation
25 like this in 30 years I've been on this Committee. We've

1 never had a situation like this. And I don't want to take
2 it up unless there is some agreement that -- that we would
3 pursue it. We can drop it, but there is an issue that we
4 need to decide about.

5 OEHHA SUPERVISING TOXICOLOGIST MARTY: Well,
6 maybe I can put it in a different way. You know, DPR
7 develops these risk management documents that are
8 political decisions. They do weigh cost, technical
9 feasibility like all risk managers do. And all risk
10 management has a certain amount of political decision
11 making that's involved.

12 The risk assessment is done. And we weighed in
13 at risk assessment stage and the Panel, by law, weighs in
14 at the risk assessment stage. Their risk management
15 document, while it does have stuff that is contradictory
16 to their own risk assessment document, is still a risk
17 management document.

18 PANEL MEMBER GLANTZ: Yeah, but I think, Melanie,
19 that the issue here -- and again, I want to reiterate what
20 John said, is we're not regulators. That is a political
21 decision. It's not a scientific decision. But the thing
22 that really bothered me about what happened here, is we
23 went through this whole long process to come up with a
24 risk number, okay. And what DPR did was they just ignored
25 that and said, well, we're going to write a regulation

1 assuming a lower risk.

2 And I think, you know, that -- you know, the way
3 I've always described this process to people who have
4 asked about it, is our job is to give people the best risk
5 number we can. And then there's a political decision
6 about what do you do about it.

7 You know, but I can't ever either, also as the
8 second longest serving member, remember a case where
9 people just said, well, we're going to just say that the
10 risk is less than you did, and then write a regulation,
11 which is then to the public understating the consequences
12 of the political regulatory decision.

13 And I mean, if they are going to be doing that,
14 then why do we bother with all of this. You know, because
15 essentially -- my understanding of this whole process was
16 it was to try to insulate the risk estimate from politics.
17 And so then if the regulators wanted to go out and expose
18 the public to very high risks, for whatever reason, that
19 was their decision, but at least people would know it.

20 And so I was just -- when I saw this stuff come
21 around, I was just completely shocked. You know, if they
22 want to say we're willing to expose the public to this
23 much cancer risk because we think it's economically
24 justified, that is there business. That's not our
25 business.

1 But for them, after this whole process, to say
2 well, we are going to understate the risk, so that we can
3 make our political economic decision and people won't
4 think that they're being exposed to this level of
5 carcinogenic risk, I mean, I think that's really
6 appalling.

7 PANEL MEMBER BLANC: I think that --

8 PANEL MEMBER GLANTZ: And I think it just goes to
9 the whole idea of the whole process and why we're -- we
10 spent all of this time, you know, arguing about these
11 numbers.

12 PANEL MEMBER BLANC: You know, I don't hear
13 Melanie or Jim disagreeing with that.

14 PANEL MEMBER GLANTZ: I know.

15 PANEL MEMBER BLANC: But I think their point was,
16 if I understood it correctly, is that they don't see the
17 mechanistic vehicle through which we could revisit it,
18 unless for some reason, the Department of Pesticide
19 Regulation were to bring it back to us, is that what I
20 heard you saying?

21 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yes.

22 PANEL MEMBER BLANC: And so I guess a question in
23 follow up to that, I would ask you to think about, but not
24 necessarily say something, you know, standing on one foot,
25 is for you to look carefully and see if thinking a little

1 bit out of the box there is any vehicle. For example,
2 because it's a pesticide, you're precluded from looking at
3 the pesticidal risk, except to the extent that the
4 Department of Pesticide Regulation asks you for input, at
5 that stage, like you did, right?

6 If something has both pesticidal and
7 non-pesticidal exposure routes, can OEHHA, in any way,
8 independently look at the non-pesticidal exposure piece of
9 it, non-pesticidal uses?

10 Let's say we were talking about hypochlorite,
11 which is used as a biocide, but also, of course, has many
12 other uses.

13 CHAIRPERSON FROINES: Well, in this case, Paul,
14 the question is there's a lot of fumigation that goes on
15 at the Ports of Los Angeles and Long Beach where products
16 are treated with fumigants before they're sent overseas.
17 And so there is a non-farm related use.

18 PANEL MEMBER BLANC: I think structural
19 pesticides are still -- I mean, I'm not sure that would be
20 the way around it. It would -- I think it would have to
21 be is it a byproduct of some other -- is it a hot spot,
22 you know, is it manufactured at all? Is there a hot spot
23 source or some other way around this that would allow you
24 to bring it to us?

25 OEHHA SUPERVISING TOXICOLOGIST MARTY: Well,

1 even -- all of the statutes that we operate under for
2 TACs, hot spots, SB 25 --

3 PANEL MEMBER BLANC: They exclude --

4 OEHHA SUPERVISING TOXICOLOGIST MARTY: -- they
5 exclude pesticides.

6 PANEL MEMBER BLANC: The pesticidal use of a
7 chemical. Does it exclude the non-pesticidal use of the
8 same material?

9 PANEL MEMBER NAZAROFF: And example would be
10 limonene. It's not a TAC, but it is used as a
11 termiticide, and it's used as a solvent. And those are
12 completely distinctive uses. And it's not clear -- I
13 mean, the question that Paul raises is an interesting one.

14 OEHHA SUPERVISING TOXICOLOGIST MARTY: Well, I'm
15 not a lawyer, but all I can say is that the one chemical
16 that we were allowed to look at as a fugitive emission
17 from fumigation was Methyl Bromide. And it's because a
18 judge made a decision in San Diego that that
19 particular -- that once it comes out of the stack it's no
20 longer a pesticidal use. But the judgment was limited by
21 the judge to methyl bromide as a fumigant.

22 PANEL MEMBER GLANTZ: Well, you know, Maybe --
23 you know, the one thing that this is sort of bringing back
24 is lead, where we had -- you know, where there was a huge
25 political mess. And as I recall, what the Panel did was

1 ask the Department to simply come and make a presentation
2 to us about, in that case, it was why they weren't doing
3 anything. But maybe the thing to do is to invite DPR back
4 to simply explain why they ignored the Panel.

5 PANEL LIAISON BEHRMANN: And let me --

6 PANEL MEMBER GLANTZ: And just see what they have
7 to say, you know.

8 PANEL LIAISON BEHRMANN: I honestly do not
9 believe the Department intentionally or even
10 unintentionally tried to go against your advice in making
11 its decision.

12 I have to just tell you, I'm struck by the
13 conversation or the discussion, because it reminds me so
14 much of actually one of the reasons I find myself sitting
15 here, after my career here at the Air Board. My reason
16 being a professor of mine at Berkeley, Bob Sawyer, was
17 appointed by Jerry Brown to the Air Board. And I came to
18 Sacramento to work with him on his staff.

19 And I still remember being in class in Berkeley
20 and having this discussion. It was a very frank
21 discussion about what it was like to go from being an
22 academic researcher to now being a regulator. And the
23 struggle that I can tell you, not just that he had, but
24 that our current Board has over what to do about the
25 ongoing high levels of risk to persons living around the

1 ports, around the railyards in California, they're levels
2 that I'm sure this Panel would be concerned about.

3 So it's not just DPR, it's actually the Air Board
4 as well.

5 PANEL MEMBER GLANTZ: Yeah, but the Air Board,
6 I'm not familiar with the case where the Air Board in
7 doing their risk management decisions changed an estimate
8 of the risk associated with the exposure. I mean, I've
9 never heard of them changing a unit risk that was approved
10 through this process. And my understanding is that's
11 essentially what DPR did.

12 PANEL LIAISON BEHRMANN: Well, I'm --

13 PANEL MEMBER BLANC: Twice.

14 PANEL LIAISON BEHRMANN: I'm not familiar with
15 the specifics of what they did.

16 PANEL MEMBER GLANTZ: That's why I think maybe
17 the next thing is to still invite them to come and explain
18 it.

19 PANEL MEMBER BLANC: Jim, what I'd -- I mean, I
20 don't object to Stan's suggestion, but what I also would
21 like your commitment is to formally go back to your legal
22 counsel and ask your legal counsel for a specific decision
23 on whether the methyl bromide court decision could be
24 interpreted by OEHHA to apply to other fumigants. And I'd
25 like that legal counsel to say explicitly yea or nay or as

1 most lawyers do on the one hand, on the other hand.

2 Because, if, in fact, we take that narrow
3 approach and more broadly interpret the methyl bromide
4 decision that we do have the option of reviewing fumigants
5 as potentially being air pollutants that are not exempted
6 by being pesticides once they escape, then I would suggest
7 that you do bring us chloropicrin. And I would also
8 suggest that you bring us methyl iodide as well.

9 OEHHA SUPERVISING TOXICOLOGIST MARTY: We
10 actually have looked at -- we have a chloropicrin
11 reference exposure level. We already looked at it.

12 PANEL MEMBER BLANC: Well, bring it back.

13 PANEL LIAISON BEHRMANN: So just to clarify,
14 you're asking that we --

15 PANEL MEMBER BLANC: Ask your lawyer --

16 PANEL LIAISON BEHRMANN: -- ask our legal counsel
17 whether or not the methyl bromide decision in the San
18 Diego case, where I believe the air district was allowed
19 to regulate the emissions from these chambers, whether or
20 not that decision can be applied more broadly to other
21 fumigants?

22 PANEL MEMBER BLANC: Yes.

23 PANEL LIAISON BEHRMANN: And thereby?

24 PANEL MEMBER BLANC: Allow us to look at
25 chloropicrin and methyl iodide, too, for that matter.

1 PANEL LIAISON BEHRMANN: And by us being?

2 OEHHA SUPERVISING TOXICOLOGIST MARTY: OEHHA
3 independent of --

4 OEHHA SUPERVISING TOXICOLOGIST MARTY: I mean, I
5 would argue that we've done that. We've done the risk
6 assessment for chloropicrin and we worked with ARB staff
7 on their methyl iodide document. I don't think -- and
8 that was within our risk assessment context and the Panel
9 looked at those documents.

10 CHAIRPERSON FROINES: Not methyl iodide.

11 OEHHA SUPERVISING TOXICOLOGIST MARTY: You're
12 right. It wasn't the SRP. It was a specific panel.

13 CHAIRPERSON FROINES: Just some of the players
14 are --

15 OEHHA SUPERVISING TOXICOLOGIST MARTY: Some of
16 you guys were on it.

17 PANEL MEMBER BLANC: And in both cases the DPR
18 simply changed the numbers.

19 OEHHA SUPERVISING TOXICOLOGIST MARTY: During
20 their risk management phase, I guess that's the -- you
21 know --

22 PANEL MEMBER GLANTZ: Right but gravity is
23 still --

24 OEHHA SUPERVISING TOXICOLOGIST MARTY: During the
25 risk management phase they decided to go against their own

1 staff, but I don't think that this Panel, which is the
2 risk assessment reviewers, is the correct forum to go
3 after that.

4 CHAIRPERSON FROINES: I understand, but the thing
5 that troubles me, the numbers issue is very troubling.
6 And you can argue that it's part of the risk management.
7 But what bothers me the most is we stated unequivocally
8 that chloropicrin was a carcinogen. There was no debate
9 on that issue.

10 DPR says, in their document, that the data on
11 carcinogenicity is equivocal and it doesn't appear to be a
12 serious issue. So they absolutely contradict the findings
13 of you and their own risk assessment people and this
14 Panel. And it's -- so my concern is, is if we say it's a
15 carcinogen and they say don't worry, it's equivocal and
16 therefore not an important question, you're going to a
17 fairly fundamental contradiction that's occurring. And we
18 can forget risk management and risk assessment, we're
19 talking about some level of integrity in the process, I
20 think.

21 PANEL LIAISON BEHRMANN: And I think my only
22 caution from having skimmed this risk management directive
23 just twice is that I think it's very important to look at
24 the context for the phrase that you stated. I think the
25 context may provide a little bit more explanation perhaps

1 of what they meant by equivocal.

2 CHAIRPERSON FROINES: I don't -- so we'll -- I
3 think we will not proceed further in any sense on this. I
4 think that, based on Paul's suggestion, that we'll wait to
5 hear from Melanie and you on where things are at. And the
6 Panel may choose to not go forward in any kind of way or
7 it may choose to go forward. I think it's a wide open
8 question.

9 It's just an issue that I thought needed to be
10 brought up, because there are contradictions. And so how
11 we handle it -- I don't think we should do anything that
12 threatens the integrity of this Panel. And especially
13 with all the new members, that I think that we don't want
14 to get into controversy that's not appropriate for the
15 Panel to do so.

16 PANEL LIAISON BEHRMANN: I would agree with
17 everything you said, John. And I hope that you've taken
18 my comments in the same light. I think this Panel, or
19 certainly my experience over the last decade or so, is
20 that this Panel takes its independence and its integrity
21 very seriously. And I think there have been numerous
22 examples of that over the years. I appreciate your --

23 CHAIRPERSON FROINES: I don't want -- I just want
24 to say, I don't want to do anything that compromises this
25 Panel's ability to do its job. So that's the bottom line,

1 I think. But there is an issue and we need to have some
2 resolution of it.

3 So Paul?

4 Oh, Bill, I'm sorry.

5 PANEL MEMBER NAZAROFF: No. I just -- I want to
6 change the topic, if that's okay, back to the -- well,
7 actually to come around back to the issue of process and
8 the role of the leads and the timing.

9 I don't recall the date at which I got the REL
10 document for nickel. I don't think it was six weeks ahead
11 of the meeting. It might have been a month.

12 PANEL LIAISON BEHRMANN: No, it was not. It was
13 about a month.

14 OEHHA SUPERVISING TOXICOLOGIST MARTY: It was a
15 month.

16 PANEL MEMBER NAZAROFF: Yeah. What I did in this
17 instance was about what I think I could reasonably do,
18 given that time frame, which is to provide comments close
19 to a week ahead of time to OEHHA, which doesn't allow much
20 time for absorbing and responding.

21 I didn't include a distribution to the rest of
22 the Committee, which means the rest of the Committee heard
23 my comments for the first time today. I don't know
24 whether that model is the right one. And, you know, we've
25 talked about having an earlier available time for the

1 leads or more available time for the leads, but I'm still
2 not clear, at this point, what our best concept is for how
3 to engage the pre-meeting review and communication of
4 findings and so forth.

5 CHAIRPERSON FROINES: Let's assume that the leads
6 have 6 to 8 weeks before the meeting to review the
7 document that's available. When you write your comments,
8 it's my view that I should take the comments -- Jim and I
9 should take the comments and send them to the rest of the
10 Panel. But we don't want to get into an Email exchange
11 once they have them, so that the Panel members should have
12 them to read and learn from, but it shouldn't generate
13 activity that's not -- wouldn't be appropriate. So I
14 think that's it more or less.

15 PANEL MEMBER BLANC: What you did was above and
16 beyond the call of duty, really, I mean to have written
17 comments in advance. Very, very often the comments are
18 given at the time of the meeting. And so I would say
19 we're ahead of the game if I get comments from the two of
20 you two days ahead of the meeting.

21 CHAIRPERSON FROINES: But he won't start sending
22 you Emails then

23 PANEL MEMBER BLANC: I for sure won't, in any
24 event. But I think that's more than enough. I think the
25 real question is, let's -- presuming that you're going to

1 send your comments back to OEHHA a week before, which I
2 think they just need to have a heads up as to what the
3 kinds of things are you're going to bringing up at the
4 meeting. But I don't think our expectation is that they
5 will have already revised the document in light of your
6 statements, you know, prior to the meeting, because they
7 need to hear from everybody else. And there may not be --
8 there may be a heterogeneity of views.

9 PANEL MEMBER NAZAROFF: Sure.

10 PANEL MEMBER BLANC: So it's really just to give
11 them a heads up. If there's one specific thing for them,
12 you know, they don't want to be, you know, caught unawares
13 completely. But that's more than they usually get. So I
14 think that's fine. I think the real question is for you
15 to be able to read the document in a comfortable fashion
16 and, you know, do it, you know, an hour here and an hour
17 there in a busy schedule. Then the more time you have it,
18 it's better from that perspective, but not because your
19 then delivery date should somehow be moved back
20 particularly.

21 PANEL MEMBER NAZAROFF: Well, it's also helpful
22 to just know, because of course the new members to the
23 Panel don't have any historical context in which to
24 understand the expectations or norms.

25 PANEL MEMBER GLANTZ: Right, but the whole idea

1 though of having the leads is to be working with the OEHHA
2 and the ARB and DPR when they're involved, to help them to
3 kind of knock the rough edges off the document before. I
4 mean, that's why we created the lead thing.

5 I mean, some people, like you did, have provided
6 written materials others talk to them. You know, but I
7 think -- the whole -- again, the idea -- I mean, I know we
8 were talking about this a little bit at lunch. If you go
9 back to the olden days, for us old people, you know, the
10 documents would come to the Panel and have a lot more
11 trouble. And so the whole idea of appointing a couple of
12 leads was to work with them to try to identify problems
13 before they got to the full Panel.

14 And I think different people have done that in
15 different ways, in terms of preparing written comments or
16 not. So that's -- I mean, it's been kind of an informal
17 process.

18 CHAIRPERSON FROINES: Melanie?

19 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah. I
20 was just going to say that it's really helpful for us to
21 get comments in advance. You know, since --

22 OEHHA SUPERVISING TOXICOLOGIST SALMON: Written
23 or verbal.

24 OEHHA SUPERVISING TOXICOLOGIST MARTY: For
25 example, US EPA's peer review process is much more

1 formalized that they get comments in advance, than it
2 helps to come to the meeting knowing what your issues
3 were, if we've had a little bit of time to dig up some
4 more information that would address those issues.

5 CHAIRPERSON FROINES: And so if Peter schedules
6 the meeting at a time when you and Ellen say can have 6 or
7 8 weeks, then that just makes your life easier.

8 PANEL MEMBER NAZAROFF: Sure.

9 CHAIRPERSON FROINES: And that's the goal.

10 OEHHA SUPERVISING TOXICOLOGIST MARTY: It is --
11 there's a little bit of tension of scheduling the meetings
12 way in advance and having the agency have -- be able to
13 get the documents out two months ahead of that meeting.
14 So, yeah, I don't know have a suggestion for dealing with
15 that.

16 PANEL MEMBER NAZAROFF: Well, I mean, this
17 time -- this is a really busy time and still a month was
18 enough time to have done the job. That was fine, as long
19 as the expectation was no more than what we were able to
20 deliver.

21 CHAIRPERSON FROINES: I think, Melanie, that what
22 we're saying is if we can get 6 to 8 weeks, that's the
23 best of all possible worlds. If it turns out that you
24 don't -- you haven't -- you know, somebody breaks a leg or
25 there's an accident and it can't get done, nobody's going

1 to complain. It's sort of setting a goal, rather than
2 saying that everything must be this way.

3 PANEL MEMBER BLANC: And for example, I would
4 suggest with this nickel thing, you're going to go back
5 and look at the animal studies. You're going to see if
6 there's one that lends itself to an acute thing -- acute
7 REL. I mean, I'd run that past your leads as a sort of
8 iterative process. And I'm sure you're going to do that.

9 OEHHA SUPERVISING TOXICOLOGIST MARTY: Yeah.

10 PANEL MEMBER BLANC: You know, just like we were
11 in communication about --

12 OEHHA SUPERVISING TOXICOLOGIST MARTY: Exactly.

13 PANEL MEMBER BLANC: -- the acute REL on --

14 OEHHA SUPERVISING TOXICOLOGIST MARTY:
15 Caprolactam.

16 PANEL MEMBER BLANC: -- caprolactam.

17 PANEL MEMBER ARAUJO: I think there is benefit
18 also of keeping the format as we have it now, where you
19 send the comments to OEHHA, and none of the other
20 reviewers knew anything about what you guys feel, because
21 in that ways we -- the other members of the Panel would
22 not have any bias and approach. If you end up like
23 reading the comments of the lead person before reading the
24 material. So we're coming here totally unbiased to
25 discuss independently.

1 PANEL MEMBER GILL: I agree with Jesús actually.
2 That's much more useful, rather than having them reviewer
3 comments, I can form my own individual opinion.

4 CHAIRPERSON FROINES: Are the two of you
5 suggesting that we not send the written comments to the
6 Panel ahead of time?

7 PANEL MEMBER GILL: Yes.

8 PANEL MEMBER ARAUJO: Yeah.

9 PANEL MEMBER GLANTZ: Well, the other thing is
10 you can send them --

11 PANEL MEMBER NAZAROFF: You can ignore them.

12 (Laughter.)

13 PANEL MEMBER GLANTZ: -- ignore them.

14 PANEL MEMBER NAZAROFF: Don't open the file.

15 (Laughter.)

16 PANEL MEMBER ARAUJO: The issue would be so big.

17 (Laughter.)

18 PANEL MEMBER BLANC: I also think, Melanie, your
19 point was very well taken, that we certainly don't want to
20 go to a situation where Panel members are involved with an
21 evolving document to the extent that there's a blur
22 between the creation and the review. So we've generally
23 taken the approach that once a document is very far along,
24 that we might have some of that exchange with the leads.

25 And we've certainly taken the view that once you

1 present it once, then there could be very active
2 involvement in certain ways, but I think your point was
3 right on.

4 CHAIRPERSON FROINES: Paul, I'm sorry.

5 PANEL MEMBER BLANC: No, that's all.

6 CHAIRPERSON FROINES: There were four people.
7 Ellen, Jesús and these two over here, who said, yes, they
8 would not -- they would prefer not to receive the
9 documents ahead of time. And so what's --

10 PANEL MEMBER GILL: The review documents.

11 CHAIRPERSON FROINES: The review documents -- the
12 review from the leads.

13 PANEL MEMBER GILL: Yeah.

14 PANEL MEMBER BUCKPITT: Right.

15 CHAIRPERSON FROINES: And is that -- do we need
16 to take a vote on that or how do you want to -- what's
17 your pleasure? I mean, we can send them and you can not
18 read them obviously, because that gives an opportunity for
19 the people who want to read them to read them. So by not
20 sending them, we actually rob some people of their desire
21 to read them.

22 PANEL MEMBER GLANTZ: So why don't we send them
23 to the people who want them and don't send them to the
24 people who don't want them.

25 (Laughter.)

1 CHAIRPERSON FROINES: No. We've got to do it one
2 way or the other.

3 PANEL MEMBER GLANTZ: Why?

4 PANEL MEMBER ARAUJO: More often than not, you're
5 going to be running out of time. I don't think that
6 anybody has the luxury of saying that you have read the
7 document like two or three weeks ahead of, and then you
8 have --

9 CHAIRPERSON FROINES: Well, let me just tell you
10 that I told Jim to send the bills and Ellen's comments out
11 Monday morning, precisely because I didn't want you to
12 have them before the weekend. I was actually taking the
13 conservative point of view, that if you took them, got
14 them on the day before, that only those most interested
15 would actually read them. So I actually am sort of caught
16 between two positions.

17 So, Stan.

18 PANEL MEMBER GLANTZ: Well, I don't -- I mean,
19 it's --

20 PANEL MEMBER ARAUJO: It's hard because of the
21 process of the reviews for papers or grants, I mean,
22 you -- nobody really has access to what the other peer
23 member says, right?

24 PANEL MEMBER GLANTZ: I mean, I've always -- the
25 times that they've been circulated, I've always found them

1 interesting, but I have my own opinions. But anyway, I
2 don't care.

3 CHAIRPERSON FROINES: Well, I think people who
4 want them should be able to have them, I think. That
5 seems legitimate.

6 PANEL MEMBER BLANC: Well, first of all, there
7 may not always be written comments in advances.

8 PANEL MEMBER GLANTZ: Right. Like I've almost
9 never prepared written comments.

10 PANEL MEMBER BLANC: So what I think -- I think
11 what you had proposed is a reasonable middle ground, which
12 is if there -- that, A, we don't command that there be
13 written comments. B, if there are written comments, they
14 should go to OEHHA in a reasonable time frame, which would
15 be a week to 10 days. And they should be circulated to
16 the Panel a couple of days beforehand, if there are
17 written comments, and people can have them with them. And
18 then it's our expectation that since we're sending the
19 documents to people a month in advance to the whole Panel,
20 that the whole Panel should have read them more than two
21 days before the meeting.

22 Something like that. You know, the best of all
23 possible worlds, and then it meets your criteria and that
24 you've read it.

25 CHAIRPERSON FROINES: Is everybody willing to

1 live with that?

2 PANEL MEMBER NAZAROFF: I think that's a good
3 model.

4 CHAIRPERSON FROINES: Okay.

5 PANEL MEMBER BLANC: Bearing in mind, that
6 sometimes people may not write written comments in
7 advance.

8 PANEL MEMBER NAZAROFF: Yeah, yeah.

9 CHAIRPERSON FROINES: Yeah, yeah.

10 PANEL LIAISON BEHRMANN: And I owe John an
11 apology. And that when he directed me to send the
12 comments to the rest of the Panel --

13 CHAIRPERSON FROINES: Don't worry.

14 PANEL LIAISON BEHRMANN: -- I wasn't in the
15 office on Monday morning. And by the time I was, people
16 were already on planes.

17 CHAIRPERSON FROINES: That's okay. Nobody
18 suffered.

19 PANEL MEMBER ARAUJO: When did you send it, the
20 night before, right?

21 PANEL MEMBER GLANTZ: Anyway, I think you're
22 making too complicated.

23 CHAIRPERSON FROINES: Sarjeet.

24 PANEL MEMBER GILL: I just have one comment.

25 When I -- this comes back as a document in itself, and I

1 ask an epigenetic issue. I read the whole part of the
2 epigenetics while you were all talking about it. There's
3 only one paragraph on epigenetic. The rest is a gene
4 expression analysis. That's not epigenetics.

5 Just change the title to gene expression, there
6 will cover up, because there's only -- the study is
7 epigenetics. The rest is not.

8 CHAIRPERSON FROINES: Some of us have to catch a
9 plane, so I think we should draw a -- Paul, do you want
10 to make a motion.

11 PANEL MEMBER BLANC: I'll move that we end the
12 meeting, adjourn the meeting.

13 CHAIRPERSON FROINES: Second? Somebody second?

14 PANEL MEMBER GLANTZ: I'll second.

15 CHAIRPERSON FROINES: All in favor?

16 (Ayes.)

17 CHAIRPERSON FROINES: Unanimous. Thank you.

18 (Thereupon the California Air Resources Board,
19 Scientific Review Panel adjourned at 4:07 p.m.)
20
21
22
23
24
25

