

MEETING
STATE OF CALIFORNIA
AIR RESOURCES BOARD
SCIENTIFIC REVIEW PANEL

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY
SIERRA HEARING ROOM
1001 I STREET
SACRAMENTO, CALIFORNIA

MONDAY, OCTOBER 31, 2011

10:45 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

APPEARANCES

PANEL MEMBERS

John R. Froines, Ph.D., Chairperson

Jesús A. Araujo, M.D., Ph.D.

Paul D. Blanc, M.D.

Alan R. Buckpitt, Ph.D.

Ellen A. Eisen, Sc.D.

S. Katharine Hammond, Ph.D.

William W. Nazaroff, Ph.D. (via teleconference)

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Jim Behrmann, Liaison, Scientific Review Panel

Mr. Peter Mathews, SRP Support Administration

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD
ASSESSMENT:

Dr. Robert Blaisdell, Supervisor, Environmental Modeling
Section

Dr. Joseph P. Brown, Staff Toxicologist

Dr. Daryn Dodge, Staff Toxicologist

Mr. Brian Malig, Research Scientist

Dr. Melanie Marty, Chief, Air Toxicology and Epidemiology
Branch

Dr. Andrew Salmon, Chief, Air Toxicology and Risk
Assessment Section

Dr. Aijun Albert Wang, Staff Toxicologist

INDEX

	<u>PAGE</u>
1. Continuation of the Panel's review of proposed acute, 8-hour, and chronic Reference Exposure Levels for caprolactam	1
2. Continuation of the Panel's review of proposed acute, 8-hour, and chronic Reference Exposure Levels for nickel, and nickel compounds	120
3. Consideration of administrative matters	
Adjournment	192
Reporter's Certificate	193

PROCEEDINGS

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CHAIRPERSON FROINES: Is Bill on the line?

PANEL MEMBER NAZAROFF: Bill is on the line.

CHAIRPERSON FROINES: Good morning, Bill.

PANEL MEMBER NAZAROFF: Hi. Who's this?

CHAIRPERSON FROINES: This is John Froines.

PANEL MEMBER NAZAROFF: Hi, John. How are you?

CHAIRPERSON FROINES: Good.

We are about to begin.

So let's say that the Scientific Review Panel meeting of October 31st is -- currently is in session.

And the first speaker -- the first issue will be caprolactam, and the first speaker will be Melanie Marty with OEHHA.

OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

CHIEF MARTY: My name is Melanie Marty. I'm Chief of the Air Toxicology and Epidemiology Branch at OEHHA.

And the first item today is caprolactam. So I'm going to just run quickly through the most recent activities and then hand it over to my staff.

So as you'll recall, the Panel has met twice on this Reference Exposure Level document, in January and May. We prepared revisions in response to public comment and to comments from the Panel.

Then we had a meeting scheduled August -- at the

1 end of August. But industry representatives sent comments
2 directly to the Panel, which we were asked to respond to
3 by the Chair. So we developed responses to the technical
4 comments, and then the meeting was postponed until today.

5 So that's it in a nutshell.

6 Daryn Dodge -- Dr. Dodge is going to give the
7 presentation, go through the REL, through the changes we
8 made, and responses to the key industry comments. And to
9 Daryn's right is Brian Malig. Brian is an OEHHA staff
10 person. And then Robert Blaisdell also.

11 So, Daryn, take it away.

12 PANEL MEMBER BLANC: Paul Blanc here.

13 Could we just clarify --

14 CHAIRPERSON FROINES: Paul, turn your mic on.

15 PANEL MEMBER BLANC: -- clarify for people --
16 just clarify for people that the revised document that
17 people have received does not include certain revisions
18 that may be referred to in the comments that are about to
19 come up. I think that wasn't as explicit as you might
20 want.

21 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
22 CHIEF MARTY: Okay, yes.

23 The document that you all received to review we
24 sent in July -- the end of July. So we subsequently
25 responded to industry comments that were sent to the

1 Panel. So there are a couple of revisions that we're
2 going to bring up in the slides that we need to have a
3 little bit of discussion of anyway. And it's related --
4 it's around statistical issues.

5 PANEL MEMBER BLANC: Revisions that you propose
6 will be reflected in the final document?

7 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
8 CHIEF MARTY: Yes.

9 CHAIRPERSON FROINES: So that there are -- are
10 you going to address issues, for example, of neurologic
11 changes?

12 PANEL MEMBER BLANC: No, those are relevant to
13 the revision that you already have.

14 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
15 CHIEF MARTY: Right.

16 CHAIRPERSON FROINES: And then you're not going
17 to review that?

18 PANEL MEMBER BLANC: I'll comment on that as
19 lead. In other words, there needs to be comment on the
20 revisions that were made in response to our discussion.

21 CHAIRPERSON FROINES: Okay, okay.

22 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
23 CHIEF MARTY: Okay. Daryn.

24 (Thereupon an overhead presentation was
25 Presented as follows.)

1 week or less, we did obtain the raw data from Dr. Ziegler.

2 --o0o--

3 OEHHA STAFF TOXICOLOGIST DODGE: This is an
4 overview of the changes to the documents. We did get the
5 raw data, as I said. And we derived an acute REL based on
6 that raw data. And that's in the major change in the
7 document.

8 We added in response to the Panel an appendix of
9 detailed benchmark concentration modeling results of the
10 Reinhold 13-week rat data. That's Appendix A.

11 We added a Korean case report of neurotoxicity
12 for heavy worker exposure, and we had it translated by one
13 of our scientists. In the process of doing that we found
14 another Chinese report that found the same endpoint,
15 neurotoxicity with heavy worker exposure. So we also had
16 a scientist who could translate that article and we put
17 summary of it in the current draft REL.

18 And in the process of that we found four Chinese
19 caprolactam occupational studies, and we had those
20 translated. And we have summaries of those in the draft
21 REL report.

22 CHAIRPERSON FROINES: Can I ask you a question?

23 OEHHA STAFF TOXICOLOGIST DODGE: Yeah.

24 CHAIRPERSON FROINES: I have the mic on. I have
25 a cold.

1 The Chinese and Korean case reports of
2 neurotoxicity with, quote, "heavy worker exposure," is
3 there any way to say more about what that exposure
4 reflected? Because "heavy worker" doesn't -- is not as
5 clear a statement -- not as clear characterization as one
6 might prefer.

7 PANEL MEMBER BLANC: Can I make just a process
8 suggestion. And, again, Melanie, this is why I asked you
9 the question at the beginning. We seem to be mixing two
10 things: One is a presentation regarding the changes that
11 are reflected in the document that we have; and then
12 comments that are going to be forthcoming regarding the
13 response to the -- response to the document, right?

14 So it would seem to me the most logical thing
15 would be, since -- I assume what you want us to do is
16 discuss all of it as a package, both the revisions and
17 then the responses.

18 So, John, what I think we should is just hold
19 off, let them present this --

20 CHAIRPERSON FROINES: No problem.

21 PANEL MEMBER BLANC: -- and then we can go
22 systematically through, first, the revisions that we have
23 had and then the more immediate issue of what their
24 responses were to the comments on the revision. Otherwise
25 it's going to be like one of those Russian dolls that we

1 keep opening up and there's one inside another.

2 CHAIRPERSON FROINES: That's fine. We'll do
3 that. Keep in mind what I just asked you, however.

4 OEHHA STAFF TOXICOLOGIST DODGE: Okay.

5 We also added in response to the Panel a couple
6 case reports of contact dermatitis resulting from dermal
7 exposure to caprolactam.

8 --o0o--

9 OEHHA STAFF TOXICOLOGIST DODGE: We also added
10 summary tables of acute and chronic exposure results in
11 animals and humans.

12 And then a couple of new tables are in there
13 to -- one is to help clarify the 13-week exposure
14 endpoints. I separated that into a 13-week exposure
15 table; and another part of that same study, 13-week
16 exposure plus 4-week recovery. I tried to combine both of
17 the major endpoints from both of those parts of the study,
18 and it led to a bit of confusion.

19 Now, a new table in the report is based on the
20 daily and weekly observations of the 13-week rat study.
21 And the numbers I got for this table are from the
22 industrial study. It wasn't in the published report by
23 Reinhold, et al.

24 --o0o--

25 OEHHA STAFF TOXICOLOGIST DODGE: Okay. Now, I'd

1 like to go into the human chamber study on which the acute
2 REL is based on -- the draft REL.

3 The exposures in the study was 0, .15, .5, and 5
4 milligrams per cubic meter caprolactam. The subjects were
5 exposed for six hours total at each of these
6 concentrations. There was 20 participants.

7 Endpoints they were looking at? They were
8 looking -- the time points were zero, or just after
9 entering the chamber, one, three, and six hours of
10 exposure. And those endpoints included eye blink
11 frequency; eye redness; nasal resistance, which actually
12 was only measured at the end of the 6-hour exposures. And
13 they filled out subjective symptom questionnaires at each
14 of those four time points.

15 --o0o--

16 OEHHA STAFF TOXICOLOGIST DODGE: Now, the
17 statistical analysis was we used the Page's trend test.
18 This is a applied to non-normally distributed data, takes
19 into account measurement of the same subjects at different
20 exposure times, and takes into account the ordering of the
21 doses.

22 Now, we had a couple of other statistical tests
23 in the draft REL, including Friedman's and a repeated
24 measures ANOVA.

25 Ultimately it was decided by Dr. Haseman, who was

1 the representative from the stakeholders who did the
2 statistical analysis for them, the raw data, and Dr. Stan
3 Glantz, that the best test really is the Page's trend
4 test, and we'd just rely on that. I'll go into that a
5 little bit more later on in another slide.

6 But, anyway, in the Page's trend test sees a
7 significant trend, we then use the Wilcoxon sign-rank test
8 to see where the differences among the dose groups are.

9 --o0o--

10 OEHHA STAFF TOXICOLOGIST DODGE: Now, among the
11 objective measures we looked at one hour of exposure. And
12 we chose the one hour because that's the duration of our
13 acute REL.

14 We saw no statistically significant trend for eye
15 redness or nasal irritation -- or nasal resistance.
16 However, we did see a statistical significant increasing
17 trend with increasing dose for eye blink frequency. And
18 the difference from the control was the high dose, which
19 was 5 milligrams per cubic meter.

20 --o0o--

21 OEHHA STAFF TOXICOLOGIST DODGE: Now, among the
22 subjective symptom results, there was 29 questions placed
23 into 7 subgroups. The most important subgroups were eye
24 irritation, which had 7 questions; nasal irritation, which
25 had 5; and odor, which had 4.

1 So odor naturally, as I explained at the last
2 meeting, there was -- there was recognition among the
3 subjects that there was an odor there. So that was
4 statistically significant. However, we also found eye
5 irritation by the Page's trend test was significant.

6 And the sign-rank test found the difference from
7 the control group at the highest level, the 5 milligram
8 per cubic meter.

9 Now, interestingly, there was no trend or
10 difference from controls for nasal irritation even though
11 the subjects recognized the odor. So they were able to
12 differentiate between irritation and odor.

13 --o0o--

14 OEHHA STAFF TOXICOLOGIST DODGE: So for acute REL
15 derivation, the critical effect is increased eye blink
16 frequency.

17 The LOAEL, or lowest observable adverse effect
18 level, was 5 milligrams per cubic meter, the high dose.

19 So our NOAEL is .5. And that's the point of
20 departure; that's the mid-level dose.

21 There's no time adjustment for the derivation
22 because it was one hour exposure, which is the duration of
23 our acute REL.

24 No interspecies adjustment since we have human
25 data.

1 And for intraspecies uncertainty factors, we had
2 a toxicodynamic uncertainty factor of 10 for human
3 variations since the study was in normal humans. No
4 sensitive humans, in other words.

5 The cumulative uncertainty factor is 10. So
6 divided by the NOAEL, or point of departure, of .5 gives
7 us an acute REL of 50 micrograms per cubic meter.

8 --o0o--

9 OEHHA STAFF TOXICOLOGIST DODGE: I want to
10 discuss a little bit about our new summaries that are in
11 the draft REL document.

12 The added Chinese and Korean case reports have
13 heavy exposure -- heavy exposure led to seizures in these
14 workers. Now, this supports the Tuma case report which is
15 in the previous draft, in which they saw the same thing,
16 seizures with heavy caprolactam exposure. Since these are
17 case reports, they really had no idea after the fact what
18 those concentrations were. But I did the best I could
19 explaining how the exposures occurred or what they looked
20 like when they came into the emergency rooms with
21 seizures.

22 In a few cases they were pretty much covered in
23 the material, in caprolactam. And they did get blood
24 samples from one of these studies. I forget if it's the
25 Chinese or Korean report, but they found high levels of

1 caprolactam in their bloodstreams.

2 The seizures that they see in the workers support
3 the use of an intraspecies uncertainty factor of 10 for
4 child sensitivity issues to neurotoxicants.

5 --o0o--

6 OEHHA STAFF TOXICOLOGIST DODGE: Okay. We added
7 some Chinese occupational study summaries, four of them,
8 which we had translated from Chinese to English, thanks to
9 one of our colleagues.

10 Now, there was a whole array of symptoms like in
11 some of the early studies that were English -- that were
12 England or U.S. reports. These included dizziness;
13 insomnia; nausea; nosebleed; dermal lesions; nasal
14 symptoms, including dryness, rhinitis, sinusitis.

15 And then there was one female -- or one study in
16 female workers. They saw dysmenorrhea, primary
17 infertility, and pregnancy hypertension.

18 You know, a problem with these studies is that
19 there was also co-exposures to other chemicals, and they
20 noted this in a few of the studies. And the methodology
21 and results sections were unfortunately too brief and
22 lacked details for us to use as a basis for chronic REL.

23 --o0o--

24 OEHHA STAFF TOXICOLOGIST DODGE: That's my
25 presentation for the new material.

1 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

2 CHIEF MARTY: So, Paul, would you like some discussion of
3 the existing draft, or should we go on to the comments
4 received from industry and our response to those?

5 PANEL MEMBER BLANC: Well, I think you have to go
6 on to that first, because the presentation we just heard
7 mixed in fact your response to the critique already. So
8 you've already brought that up. So since that was already
9 partially alluded to, I don't think it makes sense to have
10 the discussion till you finish that presentation.

11 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

12 CHIEF MARTY: Okay.

13 PANEL MEMBER BLANC: Is that correct?

14 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

15 CHIEF MARTY: Yep.

16 PANEL MEMBER BLANC: Otherwise I think people
17 will be extremely confused.

18 --o0o--

19 OEHHA STAFF TOXICOLOGIST DODGE: Okay. Material
20 was sent to the Panel recently. This involved comments
21 from the industry stakeholders. Much of the material was
22 reiterated comments from previous meetings regarding the
23 chronic REL and how that was derived -- chronic and 8-hour
24 RELs.

25 So we are going to primarily concentrate on the

1 new comments, which is in regard to the draft acute REL.

2 --o0o--

3 OEHHA STAFF TOXICOLOGIST DODGE: One of the main
4 comments is here: "OEHHA is 'cherry-picking' from the raw
5 eye blink data to show a statistically significant
6 increase in blink frequency.

7 "OEHHA used 1-hour data from the manual
8 'lights-off' approach that was statistically significant
9 and ignored 1-hour data from the semi-automated
10 'lights-on' approach that was not statistically
11 significant."

12 Now, Dr. Ziegler in his published study looked at
13 eye blink rate using two different methods: There's one
14 sort of a standard traditional approach, in which
15 videotaped -- the faces of the -- or the eyes of the
16 participants are videotaped during exposure. And then
17 this videotape is looked at by researchers later on in a
18 double-blind approach and they just manually count the eye
19 blinks.

20 Now, the semi-automated approach is new. I don't
21 believe it had been used before in this fashion. And this
22 is where they had a neon light shining on the faces of the
23 subjects during the exposures, and there was a detector
24 that noticed a change in light when they blinked.

25 And so this was counted on later on, I guess

1 manually, the change in light from this detector.

2 Now, actually both of these recordings methods
3 show a statistically significant increase trend in blink
4 rate, just not all at the same time points.

5 In addition, Dr. Ziegler in his "Discussion"
6 section of the published study noted that the lights-on
7 method needed to be verified before it would be used by
8 researchers as proof of an eye blink rate increase.

9 --o0o--

10 OEHHA STAFF TOXICOLOGIST DODGE: Now, this is the
11 results here from the eye blink data where we applied the
12 Page's trend test. So we have our traditional lights-off
13 manual count method in the first column here. There was
14 no statistically significant trend at zero hour when they
15 first entered the chamber, but there was at one hour for a
16 reason not totally clear to me, they only had four to
17 eight subjects for the 3-hour and 6-hour time points. And
18 this was not enough to determine -- or to use for a Page's
19 trend test, so we had not enough data at those time
20 points.

21 However, with the semi-automated neon light
22 method, they saw -- there was no -- when we applied the
23 Page's trend test, we saw no statistical significance at
24 zero and one hour but we did at three and six hours.

25 PANEL MEMBER EISEN: I have a question.

1 I don't understand how there were enough subjects
2 to do the Page test for the semi-automatic method and not
3 for the manual method. Wasn't it the same data, just
4 different counting?

5 OEHHA STAFF TOXICOLOGIST DODGE: Well, they had
6 20 subjects for all the time points -- I'm sorry -- for
7 all the doses at zero hour, at one hour, to look for
8 trends, 20 subjects at each of the four doses at one hour
9 and at zero hour for the dim light or manual count method.

10 However, for the three hour and six hour time
11 points, they only had four to eight participants at the
12 various doses.

13 PANEL MEMBER EISEN: But why can you -- why is
14 there enough data to do a test for the last --

15 OEHHA STAFF TOXICOLOGIST DODGE: Well, they had
16 all 20 for every dose.

17 PANEL MEMBER HAMMOND: Aren't the lights-on and
18 lights-off, so to speak, experiments -- separate
19 experiments? They're not the same experiment.

20 OEHHA STAFF TOXICOLOGIST DODGE: Well, what they
21 did is they did the traditional approach first and then
22 immediately did the semi-automated right afterwards, like
23 five minutes later. And these would be the --

24 PANEL MEMBER HAMMOND: Oh, so those people were
25 actually obviously present because they had the

1 lights-on --

2 OEHHA STAFF TOXICOLOGIST DODGE: Right.

3 PANEL MEMBER BLANC: I think he's explaining it
4 poorly. They didn't do the traditional measurement for
5 all the subjects at the higher doses. They only did it
6 for a subset.

7 PANEL MEMBER EISEN: I see.

8 PANEL MEMBER BLANC: Whereas they did the
9 nontraditional method, which they themselves said was not
10 really ready for prime time in all the subjects at the
11 higher dose.

12 PANEL MEMBER EISEN: Um-hmm, an investigator
13 decision.

14 PANEL MEMBER BLANC: That's correct.

15 PANEL MEMBER EISEN: Unfortunately.

16 PANEL MEMBER BLANC: So the data don't exist.
17 Is that a safe way of saying it?

18 OEHHA STAFF TOXICOLOGIST DODGE: Yeah.

19 PANEL MEMBER BLANC: That's how I understood your
20 written comments.

21 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, that's
22 correct.

23 --o0o--

24 OEHHA STAFF TOXICOLOGIST DODGE: Okay. The next
25 comment came in, and this was from Dr. Haseman. In fact,

1 most of these comments are from Dr. Haseman, who was the
2 statistician that was employed by the stakeholders to look
3 at the raw data from an acute study.

4 He states he prefers the Page's trend test
5 because it takes the ordering of the doses into account.

6 The Friedman test ignores the ordering of the
7 doses, and the repeated measures ANOVA assumes normality
8 and also ignores the ordering of the doses.

9 And we had the Friedman test in there as a
10 comparison or companion test with the Page's trend test.
11 And we found with a couple of the tests we could probably
12 use the repeated measures ANOVA because it looked like
13 there was a normal distribution. But it could have gone
14 either way.

15 Now, OEHHA agrees with the recommendation and
16 proposes to the Panel that we only use this statistical
17 analysis with the Page's trend test in the final REL
18 document.

19 --o0o--

20 OEHHA STAFF TOXICOLOGIST DODGE: Next comment
21 here regards the day effect as a confounding factor. This
22 was a hypothesis proposed by Dr. Haseman, and it took up
23 quite a bit of --

24 CHAIRPERSON FROINES: May I ask you a question?

25 OEHHA STAFF TOXICOLOGIST DODGE: Yeah.

1 CHAIRPERSON FROINES: I'm sorry.

2 OEHHA STAFF TOXICOLOGIST DODGE: Your mic, I
3 think you turned it off instead of on.

4 CHAIRPERSON FROINES: The issue of normality
5 seems not trivial. Can you say a little bit more about
6 the fact that it appeared normal but not quite appeared
7 normal. I mean I'm not sure what you're saying. Because
8 it makes a difference.

9 OEHHA STAFF TOXICOLOGIST DODGE: Well, for a
10 couple of the objective tests I believe Dr. Ziegler in the
11 original paper assumed normality. And so we applied the
12 repeated measures ANOVA test to that same data.

13 CHAIRPERSON FROINES: I understand.

14 OEHHA STAFF TOXICOLOGIST DODGE: However, it
15 appeared it could go either way. It might have been
16 non-parametric.

17 PANEL MEMBER BLANC: I'll be happy to comment on
18 that as --

19 OEHHA STAFF TOXICOLOGIST DODGE: Dr. Blanc.

20 PANEL MEMBER BLANC: I said I'll be happy to
21 comment on that more as lead when we get to this.

22 CHAIRPERSON FROINES: This is getting so that I
23 have to ask Paul every question.

24 PANEL MEMBER BLANC: No. I mean why don't we
25 just wait, unless it's really -- I understood your

1 question because it was like not decipherable. But in
2 terms of, you know -- I think I'll be able to address your
3 question.

4 CHAIRPERSON FROINES: Okay. That's fine.

5 OEHHA STAFF TOXICOLOGIST DODGE: Okay. We have a
6 comment here on the day effect. And I'll go into the next
7 several slides regarding this confounding factor proposed
8 by or hypothesized by Dr. Haseman. And it goes like this.
9 The comment was: "Eye blink data suggests subjects become
10 increasingly familiar with tests during the week of
11 exposures resulting in increased blink frequency on
12 successive days of testing," i.e., the so called day
13 effect.

14 And the exposure study design by Dr. Ziegler, the
15 published study, was unbalanced, leading to the day
16 effect.

17 --o0o--

18 OEHHA STAFF TOXICOLOGIST DODGE: Now, by
19 unbalanced, Dr. Haseman is referring to the way Dr.
20 Ziegler set up his study. There was four participants
21 exposed during each of the exposure weeks. And the dose
22 that they were exposed to on each day during that week was
23 randomly selected. So in other words, this led to too
24 many of the high dose exposures occurring during day 3 and
25 4. As you can see, about four out of five is occurring at

1 the last two days of exposure. And the low dose, there's
2 too many low doses in the last three or four days.
3 There's three of them actually on day 3.

4 And, you know, since there was a lot of the low
5 and highs in the day 3 and 4, that left the control and
6 the mid-dose range too many in the day 1's and 2's.

7 --o0o--

8 OEHHA STAFF TOXICOLOGIST DODGE: Dr. Haseman
9 proposes that a more balanced study design would have none
10 of the same doses on any one -- or doubled on any one day.

11 This is a nice balanced design. But I'm not sure
12 from Dr. Ziegler's methodology if he could stuff
13 another -- a fifth person into the chambers each week. It
14 was probably at the maximum of four.

15 --o0o--

16 OEHHA STAFF TOXICOLOGIST DODGE: So to correct
17 for this day effect, Dr. Haseman had days 1 and 2
18 essentially equivalent in terms of eye blinks. But on
19 days 3 and 4, he saw an increase of 5.5 blinks.

20 So to compensate, he added 5.5 blinks to all day
21 1 and 2 data, regardless of dose, to level the playing
22 field, as he called it, and eliminate the confounding day
23 effect.

24 Now, when he did this, he found no statistically
25 significant increase in eye blink rate, except there was

1 still -- there's still a significant trend at the three
2 hours using the semi-automated counting method. All the
3 others were not significant.

4 --o0o--

5 OEHHA STAFF TOXICOLOGIST DODGE: Now, we have
6 several points we want to cover here in responding to this
7 confounding factor.

8 The day effect relies on the subjects 1 to 4, for
9 example, exposed during week 1. Now all the subjects were
10 labeled -- or given a number 1 through 20. So the
11 assumption here is that subjects 1 to 4 were exposed
12 during week 1, subjects 5 to 8 were exposed during week 2,
13 and so on. But it's not clear from Ziegler's study
14 that -- in his methodology section, that this is how the
15 subjects were exposed.

16 We also observed a decreasing rather than an
17 increasing eye blink trend during 6-hour exposures. This
18 occurred at all control and caprolactam exposures except
19 for the high dose. So if a day effect exists at all, you
20 would expect it to be a decreasing eye blink trend rather
21 than an increasing.

22 PANEL MEMBER BLANC: No, you just said the
23 opposite -- you just said the opposite of what you meant.

24 He argued that people increase their eye blink
25 over time.

1 OEHHA STAFF TOXICOLOGIST DODGE: Right, right.

2 PANEL MEMBER BLANC: And your argument would be
3 if that were the case if you were exposed for six hours,
4 then over the six hours you should have a decreased amount
5 of blink compared to just a 1-hour exposure.

6 OEHHA STAFF TOXICOLOGIST DODGE: Right.

7 PANEL MEMBER BLANC: And you saw that it
8 decreased, didn't increase. Because you had said the
9 opposite levels --

10 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, I'm sorry.
11 Yeah, that's correct.

12 PANEL MEMBER BLANC: Did everybody follow that?
13 Okay.

14 OEHHA STAFF TOXICOLOGIST DODGE: So this is the
15 statistical analysis of the eye blink trend during the
16 6-hour exposures.

17 You saw a significant decrease at 0, near
18 significant at .15, significant at .5, and no trend
19 observed at 5, which is where we saw the difference from
20 the control in terms of increasing eye blink rate.

21 --o0o--

22 PANEL MEMBER EISEN: Can you go back to that
23 slide.

24 OEHHA STAFF TOXICOLOGIST DODGE: Sure.

25 PANEL MEMBER EISEN: So can you say what this is

1 again now? Can you say what's -- how many observations
2 that each of those held?

3 OEHHA STAFF TOXICOLOGIST DODGE: There's four.

4 PANEL MEMBER EISEN: Four observations?

5 OEHHA STAFF TOXICOLOGIST DODGE: Yeah. For
6 example, at 0 milligrams per cubic meter they did
7 measurements just after the participants entered the
8 chamber, which they called 0 hour. Then they measured eye
9 blink again at 1 hour and then at 3 hours, and then at the
10 end of exposure at 6 hours.

11 PANEL MEMBER EISEN: And these are the same four
12 people -- I don't understand why there's --

13 PANEL MEMBER BLANC: No, he didn't answer the
14 question correctly.

15 There's four observations per person. At the 0,
16 there are 20 people, at the --

17 PANEL MEMBER EISEN: So there's actually 80.

18 PANEL MEMBER BLANC: No, because that -- this is
19 the manual eye blink, so they don't have as many subjects
20 I think at the higher -- are you using your automated?

21 He's using the automated, so he has everybody.
22 So you're correct, there are 80, or there should be.

23 PANEL MEMBER EISEN: So there are 80 observations
24 in each of these rows?

25 PANEL MEMBER BLANC: Eighty persons with four

1 observations each.

2 PANEL MEMBER EISEN: I thought it was 20 people.

3 PANEL MEMBER BLANC: Twenty people - I'm sorry -
4 with --

5 PANEL MEMBER EISEN: -- four observations each.
6 There are 80 people. Each of the 80 people are exposed --

7 PANEL MEMBER HAMMOND: 20 people.

8 PANEL MEMBER BLANC: Twenty people studied four
9 times.

10 PANEL MEMBER EISEN: Are exposed -- this is
11 during just for six hours, you have 20 people exposed at
12 0 --

13 PANEL MEMBER BLANC: -- for six hours.

14 PANEL MEMBER EISEN: -- for six hours four times?

15 PANEL MEMBER BLANC: Observed over four times
16 during the 6-hour period.

17 So all these people have six hours worth of
18 exposure and all of them are measured at four points
19 during the six hours, is that correct?

20 OEHHA STAFF TOXICOLOGIST DODGE: Right, during --
21 yeah, just after just after entering the chamber, at one
22 hour of exposure, at three and at six.

23 CHAIRPERSON FROINES: And we're talking about 20
24 people?

25 PANEL MEMBER EISEN: And were talking about 20

1 people.

2 OEHHA STAFF TOXICOLOGIST DODGE: And 20 people.

3 CHAIRPERSON FROINES: Per dose?

4 PANEL MEMBER BLANC: Per dose.

5 PANEL MEMBER EISEN: Per dose.

6 PANEL MEMBER BLANC: And so what this table shows
7 is that over time at any given dose of the three lowest
8 doses, people do not blink more over time, they blink less
9 over time in a non-random -- in a way that's not likely to
10 be due to chance. Except for the highest dose, where you
11 continue to blink as much as you did over the six hours.

12 So the hypothesis that the more you measure
13 someone, the more they blink, just by virtue of being
14 studied more often -- so I've come into the lab four times
15 this week, so by the end of the week I blink more, this is
16 indirectly addressing that, right? This is on single day.
17 But if you were to argue that there'd be an effect over
18 multiple days, there should be an effect over many hours,
19 since the other levels in total -- and one of these
20 exposures you are exposed more than you are for the 1-hour
21 exposure where you're in the lab for one hour a day for
22 five days.

23 So, again, if there was a systematic confounding
24 of increased blinking with increased number of
25 observations that should --

1 PANEL MEMBER EISEN: Time over the day. It's
2 time over the day. That's where it's --

3 PANEL MEMBER BLANC: Well, time over -- you
4 should see the same effect with time over the day if it
5 was going to be multi-days per week, and you don't.

6 Does that make sense?

7 PANEL MEMBER EISEN: No, not really.

8 Is this pooled across days?

9 PANEL MEMBER BLANC: This is --

10 PANEL MEMBER HAMMOND: It has to be.

11 PANEL MEMBER BLANC: No, because this is for a
12 single day that you have a 6-hour exposure.

13 PANEL MEMBER EISEN: But aren't there multiple
14 days where --

15 PANEL MEMBER BLANC: Not at different numbers of
16 hours. So you'd be exposed for six hours on one day and
17 on another day you would be exposed --

18 PANEL MEMBER HAMMOND: But for all 20 people it
19 would have to be, Paul, I think. In other words, if you
20 take the .15 dose for the 20 people, they were on --

21 PANEL MEMBER BLANC: Oh, they were on different
22 days, is that what you mean?

23 PANEL MEMBER HAMMOND: Yes.

24 PANEL MEMBER BLANC: They were pooled days from
25 that sense.

1 PANEL MEMBER EISEN: Yes, you would --

2 PANEL MEMBER BLANC: I'm sorry.

3 PANEL MEMBER EISEN: Day is being ignored.

4 PANEL MEMBER BLANC: Yes, that's correct.

5 PANEL MEMBER EISEN: No matter what day you
6 were -- whether it was a first, second, third or fourth
7 days, it would --

8 PANEL MEMBER BLANC: Yes, yes, yes, yes.

9 PANEL MEMBER EISEN: Ignoring it.

10 PANEL MEMBER BLANC: Yes.

11 OEHHA STAFF TOXICOLOGIST DODGE: So what we're
12 trying to do here is show that the exposures over six
13 hours is a decreasing trend, so you'd expect the same
14 thing to happen over days because there's four subjects
15 exposed each day, Monday Tuesday, Wednesday, Thursday, at
16 randomly selected doses.

17 Does that make sense?

18 (Laughter.)

19 PANEL MEMBER EISEN: No. Could you say it again.

20 OEHHA STAFF TOXICOLOGIST DODGE: Well, we can
21 come back to it.

22 --o0o--

23 OEHHA STAFF TOXICOLOGIST DODGE: Okay. Another
24 response we have regarding the day effect.

25 Blink rate trend with caprolactam exposure dose

1 level is more pronounced than the day effect. So at the
2 1-hour time point with the dim light or lights off method,
3 we found a -- by the Page's trend test, a significant
4 trend. But when we looked at the day trend with the
5 Page's test, it was not.

6 Now, the other time points that were significant
7 with the Page's trend test, both the dose trend and the
8 day trend was below .05. But in all cases the dose trend
9 was more pronounced than the day trend.

10 --o0o--

11 OEHHA STAFF TOXICOLOGIST DODGE: And finally, we
12 did not encounter any evidence or discussion of a day
13 effect by other researchers using similar study protocols.
14 In other words there's other eye blink studies -- eye
15 blink rate studies out there using chemical irritants.
16 But nobody discussed this sort of hypothesized confounding
17 factor.

18 --o0o--

19 OEHHA STAFF TOXICOLOGIST DODGE: So in summary,
20 the hypothesized confounding by experimental day of
21 exposure is not consistent with the study data. There's
22 no precedent from other published studies supporting the
23 proposed reanalysis by Dr. Haseman. So the rationale for
24 such an effect is not convincing.

25 PANEL MEMBER EISEN: So one more -- can I go back

1 and ask a question?

2 Or should I wait, Chairperson? Do you want
3 questions now or not?

4 CHAIRPERSON FROINES: Go ahead.

5 PANEL MEMBER EISEN: Can you go back to the day
6 effect slide.

7 So --

8 PANEL MEMBER BLANC: The day effect slide?

9 PANEL MEMBER EISEN: That's it.

10 PANEL MEMBER BLANC: Oh, that's the one you want?

11 PANEL MEMBER EISEN: That's the one I want.

12 Yeah, isn't that the day effect? You're trying
13 to look whether within dose levels you see a day effect?

14 OEHHA STAFF TOXICOLOGIST DODGE: Within the
15 dose -- yeah.

16 PANEL MEMBER EISEN: -- levels do you see a day
17 effect?

18 PANEL MEMBER BLANC: No, this is a 6-hour
19 exposure -- is there a 6-hour exposure effect?

20 OEHHA STAFF TOXICOLOGIST DODGE: I'm sorry.
21 Yeah, within the 6-hour exposure.

22 PANEL MEMBER EISEN: -- that was in each of these
23 dose levels people were measured multiple times during the
24 six hours, and you're trying to see whether it's
25 increased.

1 PANEL MEMBER BLANC: Yes. Yeah, but not
2 during -- it's not an experimental day effect. I'm sorry,
3 maybe I didn't understand your question. There are two
4 separate questions. The critique argued that there's an
5 overwhelming confounding -- a hundred percent confounding
6 essentially of the day of the week upon which you have the
7 effect and the exposure level. Which there are earlier
8 slides showed it's not a completely balanced design but
9 it's certainly not a hundred percent overlap. And
10 therefore, if I -- this will spell out what the critique
11 was that they're responding to. Therefore, in fact, you
12 were so confounded by the day of the experiment that you
13 can't measure the dose response; you have to substitute a
14 variable or adjust for a variable, which is the day of the
15 week upon which the experiment occurred. And that was
16 argued to be because over the week you tend to blink more.
17 So they asked the question, well, if you're supposedly
18 blinking more over the week, if you were in the chamber
19 for six hours, would you blink more over the course of the
20 day?

21 PANEL MEMBER EISEN: So that seems to me to be
22 mixing apples and oranges. I mean so there's a
23 day-of-the-week effect and there's time-of-the-day effect.
24 And I don't understand which --

25 PANEL MEMBER BLANC: It's a fatigue or an

1 adjustment or a luring effect.

2 PANEL MEMBER EISEN: Over the course of a day or
3 over the course of a week?

4 PANEL MEMBER BLANC: Well, the argument that
5 they're making -- it's only part of their argument. But
6 the argument they're making is if there were such an
7 effect over a week, you would see it over a day as well.
8 Okay? So it's an indirect argument. That's why I used
9 that term. So that's what this addresses.

10 PANEL MEMBER EISEN: This is looking at over a
11 day though.

12 PANEL MEMBER BLANC: This is looking over the
13 day.

14 PANEL MEMBER EISEN: Right.

15 PANEL MEMBER BLANC: And then their next slide --

16 PANEL MEMBER EISEN: And that suggests that there
17 is a decrease.

18 PANEL MEMBER BLANC: The opposite, because they
19 argued that it was an increase over the day, over the
20 week.

21 PANEL MEMBER EISEN: Okay. So that suggests a
22 decrease.

23 PANEL MEMBER BLANC: An opposite effect of what
24 they're arguing, if there is one.

25 PANEL MEMBER EISEN: Okay.

1 PANEL MEMBER BLANC: Except it's not present in
2 the highest dose anyway.

3 PANEL MEMBER EISEN: That's okay with me. That's
4 fine.

5 PANEL MEMBER BLANC: Okay. So exactly the
6 opposite.

7 PANEL MEMBER EISEN: Three out of four.

8 PANEL MEMBER BLANC: Okay. And then this is a
9 more direct -- just ask --

10 OEHHA STAFF TOXICOLOGIST DODGE: See, there's a
11 more direct comparison of the trends.

12 PANEL MEMBER BLANC: This is a question. Is
13 there a trend over the week and is there a trend over the
14 dose? And the time period that they looked at was one
15 hour, was what they were concerned with. And for the
16 1-hour exposure, which is the one that they based their
17 REL on, in fact, there is again a dose response for trend.
18 That's why they -- from Page's test, which that's already
19 in the document as we have it, but they adhere, is there a
20 trend over experimental day? And there is not a
21 statistical trend over experimental day at the dose level
22 that they're using.

23 So the second -- the lower part looks to see what
24 about for the higher doses -- I'm sorry -- what about for
25 the longer duration of exposures which they don't use in

1 the REL? And you do see that there's a difference by
2 experimental day at the doses they're not using for the
3 REL. But this begs the question as to whether that is a
4 confounder for which you should adjust -- this is not a
5 multi-variate comparison. This is looking separately at
6 the trend for dose and the trend for day.

7 So at the higher -- at the -- I'm sorry -- the
8 longer hours of duration experiments, yes, there is a
9 relationship with day of the week numerically, that it
10 does presume that the data subject number corresponds to
11 which weeks they were in they're not sure of. And then
12 you're left with the question, if you believe that, would
13 you also apply the same logic to the 1-hour time frame
14 which they are using, for which there isn't such a trend,
15 and then would you do the kind of adjustment that the
16 critic was suggesting?

17 So does that all make sense? And I'll return to
18 that in my own comments. I'm just trying to answer your
19 question.

20 PANEL MEMBER EISEN: I mean barely. I mean a
21 little bit. I understand a little bit. Do I understand
22 perfectly? No.

23 PANEL MEMBER BLANC: I think you'll find the
24 written comments that they wrote are a little bit more
25 helpful than this oral presentation.

1 PANEL MEMBER EISEN: Okay.

2 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

3 CHIEF MARTY: Can I say something?

4 I think it needs to be put into perspective.
5 Caprolactam is an irritating substance. We already know
6 that. If you hypothesize it's just the day effect, you're
7 ignoring the fact that caprolactam is an irritating
8 substance. That's one issue.

9 The other issue is, if you look at the reference
10 exposure level derivation, we looked at 5 milligrams per
11 cubic meter, which was statistically significant compared
12 to controls. And that's what we identified as a low
13 observed adverse effect level. That would be standard
14 methodology.

15 So, you know, I just don't think that there's a
16 whole lot of substance to the argument and that we, you
17 know, need to spend tons of time on it. My opinion.

18 CHAIRPERSON FROINES: I think, Melanie, I agree
19 with you, and I agree with what Paul has been saying. But
20 to the degree that there isn't -- it's not written out in
21 a process that ends up with clearly defined conclusions,
22 that might be advantageous to have it a more structured
23 kind of process.

24 PANEL MEMBER EISEN: Can I ask another question?

25 But isn't this an acute study, Melanie? Aren't

1 we looking at Ziegler as a study of an acute effect? So
2 you're saying you can't do a study of acute effect in this
3 manner where you're looking at repeated days and repeated
4 times over the day?

5 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

6 CHIEF MARTY: No, no, that's definitely not what I'm
7 saying.

8 PANEL MEMBER EISEN: Okay.

9 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

10 CHIEF MARTY: You know, it is an acute study of an acute
11 effect. So, you know, I just think -- and we chose one
12 hour because that is the duration --

13 PANEL MEMBER BLANC: You know, I'm holding off
14 on -- I'm a lead, I reviewed this -- I read this -- how
15 many people that -- oh, I won't ask how many people have
16 read this painful response. But I have read every word of
17 it. So, you know, let me do my job after you do your job
18 and then let's see what people have to ask.

19 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

20 CHIEF MARTY: Okay.

21 CHAIRPERSON FROINES: I think everybody's read
22 it. I think --

23 PANEL MEMBER BLANC: Well, he hasn't finished
24 yet.

25 CHAIRPERSON FROINES: Well, I understand that.

1 But I think everybody at this table has read it, and I
2 don't think that's an issue and shouldn't be brought up as
3 an issue. They can finish.

4 PANEL MEMBER BLANC: I apologize.

5 CHAIRPERSON FROINES: They should finish.

6 --o0o--

7 OEHHA STAFF TOXICOLOGIST DODGE: Okay. So with
8 regards to a separate issue now. Another comment came in.
9 This is from Dr. Haseman again where he says, "The
10 subjective eye irritation variable is confounded by odor.
11 The overall odor and eye irritation responses in both the
12 mid and high dose caprolactam groups show a significant
13 correlation by the Spearman test."

14 Now, our response is that we concur that some
15 component of the statistically significant eye irritation
16 trend may be due to odor. This is one reason why we base
17 the acute REL on the objective eye blink frequency
18 increase with increasing dose.

19 The other point we want to make is that even
20 given that there might be some confounding by odor for eye
21 irritation, we did see no confounding -- or we saw no
22 nasal irritation trend in the data, although odor was
23 recognized by the participants. You would expect that
24 nasal irritation would be a more sensitive indicator. But
25 the subjects were able to tease out the difference between

1 blink.

2 Applying the same procedure for odor, we also
3 examined relative eye blink versus absolute odor change at
4 one hour, and we found no correlation there.

5 --o0o--

6 OEHHA STAFF TOXICOLOGIST DODGE: This comment
7 regards eye redness test. There is clearly no caprolactam
8 effect on eye redness, as would be expected if blink
9 frequency and eye irritation effects are real due to
10 irritation.

11 And our response is that eye redness is an
12 inflammatory response, while increased eye blink frequency
13 is an irritant response and may or may not include an
14 inflammatory comment. And in support of that is a recent
15 study in formaldehyde which at irritant levels produced
16 increased eye blink in one test and no eye redness. But
17 then they tested again with a masking agent and they did
18 not see a correlation.

19 So it's inconsistent, this response, to eye
20 redness. Expecting to see eye blink and eye redness
21 increase at the same time, it's inconsistent with some
22 other studies.

23 --o0o--

24 OEHHA STAFF TOXICOLOGIST DODGE: This final
25 comment regarding the acute REL. Increased eye blink rate

1 not biologically important.

2 CHAIRPERSON FROINES: Can I ask you -- and Paul's
3 going to address it, so I won't -- I just want to make
4 sure. Is there only one study that addresses eye
5 irritation and redness? Is that the full literature? I
6 mean -- or it seems that there should be more on this
7 topic.

8 OEHHA STAFF TOXICOLOGIST DODGE: There are a few
9 studies out there that looked at --

10 CHAIRPERSON FROINES: Just a yes or no.

11 OEHHA STAFF TOXICOLOGIST DODGE: -- that looked
12 at both endpoints, yes, but not a lot of them did.

13 CHAIRPERSON FROINES: Okay. Let's wait for Paul.

14 OEHHA STAFF TOXICOLOGIST DODGE: Any other
15 questions?

16 --o0o--

17 OEHHA STAFF TOXICOLOGIST DODGE: Commenter here
18 says he does not view the high dose caprolactam effect in
19 overall blink frequency or the mean eye irritation as
20 being biologically important responses.

21 Now, in other studies, which are in our response
22 to comments, statistically significant increase in eye
23 blink rate in those other studies are in the same region
24 as what we found with this caprolactam study. In other
25 words, you know, around nine blinks per 90 seconds.

1 Now, the eye irritation trend is not as strong a
2 response, and this is found in other studies too in which
3 they had -- they were looking at both endpoints, eye blink
4 rate and eye irritation -- subjective eye irritation.

5 Generally eye irritation is not as strong a
6 response. And this is in part why we did not base the REL
7 on this endpoint, because it appeared eye blink frequency
8 increase due to caprolactam exposure is a more sensitive
9 endpoint.

10 --o0o--

11 OEHHA STAFF TOXICOLOGIST DODGE: Now, we have a
12 few comments -- new comments regarding the 8-hour chronic
13 REL. And this is based on the 13-week rat exposure study.

14 And these comments regard a new table I have in
15 the REL document. And this is a -- this is from the
16 industrial study on which the Reinhold, et al., published
17 study is based on.

18 In this industrial study, they actually had
19 numbers for the various observations they made while these
20 rats were being exposed.

21 In the comment here, the incidence of labored
22 breathing in animals outside the chamber was very low,
23 sporadic, and did not reflect a dose response. Labored
24 breathing does not constitute an organ dysfunction or
25 adverse effect.

1 with red facial stains. There's a trend -- increasing
2 trend with increasing dose. And the same with clear nasal
3 discharge.

4 In terms moist rales, that was seen at the two
5 highest dose levels.

6 Now, this information here is at week 13, at the
7 end of the study. However, there was quite a few more
8 animals showing moist rales in the highest two doses
9 around week two or three.

10 Now, the in-chamber observations, 6th to 26th
11 exposure, this is presented in a percentage of animals
12 exhibiting symptoms. That's because the observations for
13 this particular endpoint was inconsistent. In other
14 words, not all animals were looked at every day for
15 labored breathing. Sometimes there was only 20 of the
16 animals looked at, sometimes 40. And they didn't tell
17 me -- or tell us which 20 were looked at if they only
18 looked at half of the animals.

19 So I presented it as percentage, and didn't feel
20 comfortable enough trying to do any sort of statistical
21 trend analysis with this data.

22 However, we could run our benchmark dose modeling
23 program on the in-life physical exam findings, the general
24 animal condition, red facial stains and clear nasal
25 discharge. And that's what's at the bottom of this slide,

1 running our benchmark dose modeling program. For red
2 facial stains the point of departure was 4.3, for clear
3 nasal discharge was 6.2, and general animal condition was
4 3.2 milligrams per cubic meter. And the point of
5 departure is the 5 percent response -- is the upper
6 confidence -- upper 95 percent confidence limit at the 5
7 percent response rate.

8 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
9 CHIEF MARTY: It's the lower.

10 OEHHA STAFF TOXICOLOGIST DODGE: It's the lower?

11 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
12 CHIEF MARTY: On dose.

13 It's the upper bound on the slope but it's the
14 lower bound on dose.

15 OEHHA STAFF TOXICOLOGIST DODGE: So we also did a
16 benchmark dose analysis, if you recall, on the pathology
17 results of the upper airways in these animals. Using the
18 same modeling technique, the point of departure was the
19 same. It was around 3 to 4 milligrams per cubic meter.

20 So here we have the observations and the
21 pathology results coinciding with the same point of
22 departure.

23 --o0o--

24 OEHHA STAFF TOXICOLOGIST DODGE: The final
25 comment here that we'll discuss is regarding the Reinhold

1 rat study vapor component of the exposure.

2 Now, the original industrial report states that
3 there was an unquantified vapor component to the exposure.
4 And the commenter here says if the caprolactam atmosphere
5 presented to the study rat was at saturation level (13
6 milligrams per cubic meter), then the actual caprolactam
7 exposures were 37, 83 and 256 rather than 24, 70 and 243
8 as presented in the paper.

9 So the assumption here is that the additional 13
10 milligrams per cubic meter of vapor was not analyzed by
11 their detection equipment.

12 Now, in the study caprolactam was dissolved 1 to
13 1 in water and aerosolized. And the Henry's partition
14 coefficient is very small for caprolactam, which suggests
15 that it's hydrophilic and that it wants to stay with the
16 water particles. It doesn't want to partition into the
17 vapor form.

18 So we suspect that it's -- this number is quite a
19 bit smaller, this vapor component. And in fact in the
20 original industrial report, they don't really address it,
21 probably because it was inconsequential.

22 --o0o--

23 OEHHA STAFF TOXICOLOGIST DODGE: Any other
24 questions?

25 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

1 CHIEF MARTY: So that's the end of the presentation from
2 staff on caprolactam in the response to comments.

3 So if there's additional issues, we should bring
4 them up now.

5 PANEL MEMBER BLANC: Is this where you'd like the
6 comments from the leads?

7 CHAIRPERSON FROINES: I believe that -- unless
8 Bob's going to say something -- no. Or the person, whose
9 name I don't -- Ryan --

10 OEHHA RESEARCH SCIENTIST MALIG: Maybe in the
11 context -- maybe during the discussion.

12 CHAIRPERSON FROINES: Then it seems to me that
13 what we've all been waiting for is to hear from Paul.

14 (Laughter.)

15 PANEL MEMBER BLANC: So, as you know, I'm co-lead
16 on this along with Dr. Stan Glantz, who cannot be here
17 today. But he and I are at the same institution, so we've
18 had an opportunity to discuss together his comments. And
19 most of his comments relate to issues raised in the OEHHA
20 response to the public comments.

21 So I think it would make sense to first deal with
22 the revisions that are in the draft that everyone has, and
23 then move on to the responses that -- our responses to
24 this document that they then responded to.

25 Does that make sense as the way to go?

1 CHAIRPERSON FROINES: Um-hmm.

2 PANEL MEMBER BLANC: So I think there were three
3 main areas of -- or four from the Panel at the last
4 meeting. And two of them were the more substantive and
5 two were perhaps less substantive.

6 One area I'll critique was that the document as
7 it existed prior to this revision was not inclusive enough
8 of relevant human case report data, in particular case
9 report data relevant to neurological endpoints and to
10 sensitization endpoints as reflected in contact dermatitis
11 case reports. I think that's fair. I think that you and
12 I were the main people bringing that up.

13 And so I think that this revision is effective in
14 utilizing and putting in context that case report
15 literature. And, in addition, as lead, I gave them
16 feedback on where the dermatitis -- contact dermatitis
17 cases were most effective to be discussed. And in my view
18 they had relevance to an acute response, since once one is
19 sensitized, one responds acutely, and they responded to
20 that critique.

21 I think the only -- and I note gladly that that
22 also led them to find some occupational exposure
23 literature that's more relevant to chronic exposure as
24 well.

25 I do note that in the document it indicates where

1 the chronic-related occupational studies from China were
2 translated for the purposes of this document. That is not
3 consistently indicated. And till your oral comments I
4 actually didn't realize that the Korean and Chinese case
5 reports needed to be translated. So for consistency, I
6 think you should parenthetically indicate that in the text
7 where appropriate. It would be just to use the same
8 language that you used for the other.

9 So that was one area. And I believe that the
10 revision is responsive and is a better document on that
11 basis.

12 The second and even more substantial critique
13 from the Scientific Review Panel at the last meeting was
14 dissatisfaction with opting out of an acute level --
15 reference level acute exposure, REL. I think there was a
16 consensus or a strong point of view that the single
17 occupational study was inappropriate but that the Ziegler
18 human exposure data were more desirable and that the
19 optimal scenario would be obtaining the raw data and
20 analyzing it appropriately.

21 And I think there was -- the revised document was
22 responsive to that request. And I'll come back to then
23 the critiques that were made in your responses in terms of
24 the analysis.

25 The third request from the Panel I believe was to

1 have some additional tabular data related to the animal
2 studies from which the chronic REL was obtained, that you
3 went over that sort of late in your presentation. And I
4 think you were responsive in providing that additional
5 data. I believe there may be some appendix to data as
6 well. So that was responsive.

7 And then the fourth area was a more general sense
8 that there were areas of the document, multiple places in
9 the text where the text could be corrected, tightened,
10 improved, to have a more consistent tone, consistent with
11 other OEHHA documents. And I think that there's been an
12 effort to edit the document accordingly and to clarify
13 certain technical points that had to do with uses of
14 terminology, for which there was confusion in the way the
15 material that you were forced to use reported their data,
16 because this is a substance which -- which precipitates
17 out of a gaseous phase into a solid, and so people talk
18 about flakes and particles and vapor and all kinds of
19 things.

20 OEHHA STAFF TOXICOLOGIST DODGE: Dust.

21 PANEL MEMBER BLANC: And you tried to be -- I
22 think you tried to address some of that.

23 So I think on all counts, you were appropriately
24 responsive to the revision inputs in terms of this
25 revision. So that would be my assessment of the revision

1 as you've done it.

2 And, now, I can move -- so perhaps if you want to
3 do it in two steps, we could talk about that piece of it
4 first and then talk about the industry questions and their
5 response.

6 CHAIRPERSON FROINES: Go ahead.

7 PANEL MEMBER BLANC: I mean would you rather -- I
8 mean should I just keep going?

9 CHAIRPERSON FROINES: I think once you've got the
10 floor, you should stay with it.

11 PANEL MEMBER BLANC: All right. So then in terms
12 of this response and your presentation of it, I'm
13 sympathetic to what --

14 CHAIRPERSON FROINES: Wait, wait, Paul. Just one
15 thing.

16 PANEL MEMBER BLANC: Yeah.

17 CHAIRPERSON FROINES: You've just gone through a
18 fairly extensive discussion. And let me just say, you
19 asked the rest of the Panel --

20 PANEL MEMBER BLANC: Yes, please.

21 CHAIRPERSON FROINES: -- if they have comments as
22 to what Paul said or OEHHA, that we might just talk about
23 that briefly before you go ahead.

24 PANEL MEMBER BLANC: Yes.

25 CHAIRPERSON FROINES: So, Alan, do you want to go

1 ahead?

2 PANEL MEMBER BUCKPITT: I'd have to agree with
3 Paul. This is a much more complete document than what we
4 saw two times ago. And there's still some writing issues,
5 but I think they're minor in comparison.

6 PANEL MEMBER EISEN: (Shakes head.)

7 CHAIRPERSON FROINES: Okay. Kathy.

8 PANEL MEMBER HAMMOND: I'm very pleased with the
9 revision, particularly having the occupational pieces
10 added. But they actually raise some concerns. You know,
11 I'm just worried about some of the things that have been
12 reported. And we haven't really talked about that. I
13 don't know if you were going to talk about that.

14 CHAIRPERSON FROINES: So do you want to hold that
15 till Paul goes through the next phase?

16 PANEL MEMBER HAMMOND: Sure, yeah. I just didn't
17 know where Paul was.

18 PANEL MEMBER BLANC: No, I mean you could bring
19 it up now, because they don't relate to their response to
20 their analysis of the Ziegler data.

21 PANEL MEMBER HAMMOND: Right, right, right.
22 Yeah --

23 PANEL MEMBER BLANC: So I felt it was stronger
24 for having it. But I didn't feel that it made me want
25 them to change the uncertainty factor, for example, or,

1 you know, add another factor of 10 because of data lapses
2 or in some other way change their conclusions. And I felt
3 that where it was placed in the document was appropriate
4 in terms of being chronic. And so I didn't -- and I felt
5 they were detailed enough presentations that I didn't -- I
6 wouldn't do something differently with it. But, for
7 example, if based on their translation you feel that there
8 must have been a piece of data in the report that's
9 missing, or in their synopsis, then you should address
10 that so that they can provide that if it exists in the
11 Chinese -- the two -- you're referring to the two Chinese
12 studies, I assume. Or are you referring to the case
13 reports?

14 PANEL MEMBER HAMMOND: The case reports.

15 PANEL MEMBER BLANC: Of neurological toxicity?

16 PANEL MEMBER HAMMOND: Um-hmm.

17 PANEL MEMBER BLANC: So you should refer to the
18 page of the document where --

19 PANEL MEMBER HAMMOND: Well, I was -- I mean the
20 summaries on page 32 and their comments about some of the
21 translations. First of all, about the exposures that were
22 measured. The comments made about the wide variability in
23 those exposures and how that makes it difficult for the
24 people who are exposed to, that's common in occupational
25 settings. That's not unusual. So it's not an unusual

1 variability, just to be aware of that. I mean that
2 doesn't surprise me.

3 I think, like you all, I'm a little concerned
4 about how they measure things. It appears to me in the
5 zoo study where they just measured by weighing filter
6 paper, that they're weighing particulate matter than
7 assuming it's all caprolactam. I think that's what that
8 means.

9 But if one does that, you could say that's an
10 upper level of caprolactam. But it could be much lower.
11 Obviously the vapor level can't be higher than the
12 saturated vapor level, and it's not likely to be
13 saturated. So that's another upper point to what those
14 exposures are.

15 So I think that that's important -- I actually
16 think those are important points there in this whole
17 discussion about like where have effects been seen in
18 people. I understand we don't know what levels they were
19 exposed to. But it was lower than these various levels
20 that have been mentioned in the text.

21 I personally was quite concerned about the
22 seizure issue. And I'm concerned particularly about -- to
23 be really honest, about the idea of a child crawling on a
24 carpet, and what does this tell us about the neurologic
25 effects? And I don't -- I know that's a stretch from

1 where we are.

2 PANEL MEMBER BLANC: Not at all. And that's why
3 there's a tenfold within human factor.

4 PANEL MEMBER HAMMOND: I don't think tenfold is
5 enough if you're going from adults having seizures to a
6 child. First of all, there's a tenfold right there. And
7 then we're talking about -- I don't think we want -- or
8 you'll want to protect just from seizures in children, but
9 developmental problems neurologic. So I guess that's my
10 concern.

11 PANEL MEMBER BLANC: Well, okay. Let me see if
12 I -- you're making the argument there should be another
13 tenfold factor, that it should be a hundredfold because of
14 uncertainty -- extreme uncertainty in the -- or are you
15 arguing that the deviation -- there are two ways that that
16 can be approached, I guess: One is that in a benchmark
17 calculation, they could use something other than .05.
18 They could use .01. Or you could argue that there should
19 be another tenfold additional factor because of extreme
20 uncertainties in the database.

21 But you can't purely argue it, I don't think, to
22 be consistent - unless that I'm confusing matters - the
23 argument that because it's children and it's serious isn't
24 on its face an argument for using something other than ten
25 for intraspecies.

1 CHAIRPERSON FROINES: Can I just ask Kathy to
2 clarify.

3 You were concerned about the seizure issue and
4 you were concerned about the children's issue. Can you --
5 in terms of, say, safety factors, can you say precisely
6 what the safety factors -- how you would name them?

7 Do you see what I mean?

8 PANEL MEMBER HAMMOND: Right. I had actually
9 intended that as a question rather than a statement. I
10 wanted to ask OEHHA how they thought about it rather -- I
11 didn't come here with a strong statement, but rather just
12 to say, have you carefully considered this aspect, looking
13 at the grand seizures, saying those are in adults and
14 what -- to what degree have you considered protection of
15 children from neurologic effects in the development of the
16 acute -- of the chronic REL?

17 CHAIRPERSON FROINES: I think the other question
18 that Paul raises needs to be answered as well. And that's
19 the value one selects for the benchmark dose.

20 PANEL MEMBER HAMMOND: I totally agree, but this
21 is --

22 PANEL MEMBER BLANC: It's all folded into that, I
23 think.

24 PANEL MEMBER HAMMOND: Yeah.

25 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

1 CHIEF MARTY: This is Melanie.

2 I think that when we saw the neurologic effects,
3 we did sit up and take notice because that's one of our
4 red flag endpoints for increased sensitivity to the
5 developing fetus. So I think what we decided was it
6 provides additional support for the tenfold toxicodynamic
7 intraspecies uncertainty factor that we utilized based on
8 respiratory sensitivity.

9 In this case the concern is that irritants can
10 exacerbate asthma. So we already had the tenfold in
11 there. And I think the environmental exposures in the
12 ambient setting probably are pretty -- a lot lower than
13 what was experienced by the workers.

14 The other issue is there were some reproductive
15 and developmental toxicity studies in animals, and they
16 did not find neurotox, which is interesting.

17 CHAIRPERSON FROINES: To what degree did you
18 analyze the study design in those investigations? Because
19 people do pretty terrible neurologic testing, as you well
20 know. So to say that it wasn't found doesn't mean that it
21 was a properly designed study.

22 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

23 CHIEF MARTY: Yeah, I realize that. And if -- you know,
24 probably if you did the study now, you would, you know,
25 run it differently, because they're -- the studies were

1 done in the eighties basically. So we understand that.

2 OEHHA STAFF TOXICOLOGIST DODGE: Okay. Just to
3 add the Reinhold study on which the chronic and 8-hour REL
4 was based on - that's the rat study, 13-week - there was a
5 neurological component and behavioral component to that
6 study, and they saw no effects.

7 PANEL MEMBER HAMMOND: Let me -- sorry.

8 OEHHA STAFF TOXICOLOGIST DODGE: Well, the most
9 sensitive endpoint there was the upper respiratory injury
10 to the epithelium.

11 PANEL MEMBER HAMMOND: So my question remains
12 still. Do you feel confident, given that we have the data
13 from the case reports on occupational, which I understand
14 they're at much higher levels -- do you feel confident
15 that you are protecting both working women who are
16 pregnant and their offspring from neurodevelopment effects
17 and that you're protecting infants crawling on carpets
18 that -- and the answer could be yes. But I just want to
19 make sure that that is included in this document and that
20 you feel that there have been sufficient protections for
21 that.

22 OEHHA STAFF TOXICOLOGIST DODGE: I believe there
23 is definite protection there built into the REL.

24 OEHHA ENVIRONMENTAL MODELING SECTION SUPERVISOR
25 BLAISDELL: We're always limited by the data that we have.

1 But within the limitations of the data, yet.

2 CHAIRPERSON FROINES: Can I go back to my
3 question?

4 No, I'll come back. Go ahead.

5 OEHHA STAFF TOXICOLOGIST DODGE: Well, I also
6 wanted to say there was a number of repro developmental
7 studies in animals with caprolactam. And we ran through
8 the whole derivation process based on those endpoints we
9 saw in the fetuses. And after we did that, the most
10 sensitive endpoint by a significant margin was the
11 respiratory irritation endpoint.

12 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
13 CHIEF MARTY: So that analysis is on page 45.

14 CHAIRPERSON FROINES: Can I just reiterate my
15 question. Then you can put it to bed.

16 And, that is, when you said that there were no
17 neurologic findings in the study you were talking about,
18 was it a properly designed neurologic study? Did
19 you -- what was your critique of the study design?

20 OEHHA STAFF TOXICOLOGIST DODGE: It looked pretty
21 good. I mean it's --

22 CHAIRPERSON FROINES: I mean we had to live
23 through this methyl iodide and we saw some pretty
24 inadequate studies.

25 OEHHA STAFF TOXICOLOGIST DODGE: Now, I'm not

1 expert, you know, in this particular field, but they
2 followed the protocol at the time that was standard for
3 these kinds of tests.

4 CHAIRPERSON FROINES: Okay.

5 PANEL MEMBER HAMMOND: But That protocol has
6 changed, I think. And I certainly know that the
7 accepted -- what we learned again in the methyl iodide
8 work was that there's a need for longer time follow-up and
9 had been done in the past. And so I guess that those are
10 the questions that John was asking.

11 CHAIRPERSON FROINES: Yes, exactly.

12 PANEL MEMBER BLANC: I would say that my point of
13 view on this is that, first of all, the human case report
14 literature, which I championed them including, is relevant
15 in my mind to the acute exposure effect, the seizures that
16 were observed. And suggest that an acute high-level
17 exposure of the central nervous system is an endpoint of
18 toxicity.

19 I wasn't convinced from the descriptive
20 occupational studies, particularly that in chronic
21 exposure the CNS was the target organ of toxicity. What I
22 think would be -- and therefore I think the issue is
23 more -- or is first and foremost relevant to the acute
24 exposure REL derivation. And, you know, in light of this
25 discussion, what might be the most useful is to have an

1 explicit sentence in the document, or two, that says,
2 "Although we were concerned with the neurotoxicity, we do
3 not" -- and this clearly supports the tenfold intraspecies
4 variation -- "we did not choose a lower point for the
5 benchmark derivation at the .01 level and stuck with the
6 .05 level," if that's what you did. I mean I think there
7 was a benchmark.

8 I'm not confusing the two, am I? In the acute
9 there was a benchmark calculation as well?

10 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

11 CHIEF MARTY: No, the acute --

12 PANEL MEMBER BLANC: It didn't. There was only
13 the other that you did a benchmark.

14 OEHHA STAFF TOXICOLOGIST DODGE: Correct, just
15 the 8-hour and chronic.

16 PANEL MEMBER BLANC: So I think then you should
17 have to say that you did not feel it raised enough
18 questions for you to apply a hundredfold rather than the
19 tenfold, just so that it's clear that you took seriously
20 and considered it so it's documented there.

21 CHAIRPERSON FROINES: What I would -- I would add
22 basically what Kathy said - and this doesn't contradict
23 Paul - I would add a sentence or two that says, "Studies
24 done in the future should take into consideration design
25 issues that are up-to-date." And so it's just -- so

1 you've just acknowledged the fact what -- exactly what
2 Kathy said.

3 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

4 CHIEF MARTY: Okay. Just of note, they did run a
5 functional observational battery in the Reinhold rats.
6 And that's based on -- they cite Moser 1989. So it might
7 have changed a little bit since then, but they did do
8 that.

9 CHAIRPERSON FROINES: Well, don't -- I mean don't
10 put something in if it's not appropriate.

11 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

12 CHIEF MARTY: Yeah. Thank you.

13 PANEL MEMBER BLANC: I think we're still going
14 around the table to talk about this revision.

15 CHAIRPERSON FROINES: Jesús.

16 Or, Kathy, are you finished?

17 PANEL MEMBER HAMMOND: Yes.

18 PANEL MEMBER ARAUJO: Yeah. I am pretty much in
19 agreement with all the comments. And I especially commend
20 again the effort in looking at all these studies.

21 And in relation to the concern of the
22 neurotoxicity, I have to share -- I have to say that I
23 share some of the same concerns. And I wondered whether
24 there is something else that is still within our reach
25 that we could do.

1 So I noticed that out of the three occupational
2 exposure case reports that you cite and that you
3 translated -- I appreciate, by the way, that you sent the
4 translations to us, and I could have primary access to
5 those -- two of them don't show any levels. But one of
6 them, the study from Chen in 2002, did show the
7 concentration of caprolactam in urine, 2.9 to 3.7 grams
8 per liter. And I am reading the translated paper that you
9 did -- that you sent. And there are specific conditions
10 in that paper that says that they exposed -- there was an
11 acute exposure from all three individuals from 8 a.m.
12 to -- it was higher to work from 8 a.m. the 3 p.m., and
13 they started feeling symptoms at 1.M. And apparently the
14 rest of the symptoms happen even after 5 p.m. So we know
15 that there was an exposure of at least five hours,
16 probably in between five to eight hours. And because of
17 that, so they developed all the systemic and
18 convulsions -- symptoms.

19 So I wondered whether, based on the
20 pharmacokinetic or pharmacodynamic in data that may be
21 available from other studies, so whether we could or
22 somebody could estimate a blood level that could give rise
23 under those conditions to a urine level of this
24 concentration. And at least have that as perhaps the only
25 concrete or objective data in terms of relation from in

1 between blood levels and neurotoxic effects. And whether
2 an estimate of the level of exposure, the dose of exposure
3 that could give rise to these blood levels. So from that
4 regard, so we could in a more precise fashion get to the
5 idea of whether this tenfold factor is sufficient to be
6 protective or to feel comfortable or whether we should
7 even go into higher or lower concentration. I mean a
8 factor -- a higher factor for the protection of
9 susceptible people.

10 PANEL MEMBER BUCKPITT: I haven't read the Chen
11 study. But were they measuring parent compound on the
12 urine? When they say that they had 2.9 to 3.7 grams per
13 liter, is that parent compound?

14 OEHHA STAFF TOXICOLOGIST DODGE: You know, I had
15 a difficult time with that too. I assume it was the
16 parent compound. But it's possible they might have
17 been measuring --

18 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
19 CHIEF MARTY: Daryn, Albert's here. He can read it.

20 OEHHA STAFF TOXICOLOGIST WANG: This is Albert
21 Wang. I'm staff toxicologist in the same branch. I
22 translated these case reports basically word by word --
23 verbatim.

24 In the urine the concentration of caprolactam.
25 So, yes, it's the parental compound.

1 PANEL MEMBER BUCKPITT: Okay. So if we go back
2 to the studies that Peter Unger published in the early
3 eighties, only 2.3 percent of the compound comes out as
4 parent compound in the urine, which must mean that those
5 exposures were industrial strength, to say the least.

6 All right. So you're talking about grams
7 internally, not -- right?

8 CHAIRPERSON FROINES: I'm not getting what you're
9 saying.

10 PANEL MEMBER HAMMOND: I see what you're saying,
11 and I agree, except that it's hard to believe those
12 exposures were that high. I mean --

13 PANEL MEMBER BUCKPITT: I tend to agree with you.
14 I only had --

15 PANEL MEMBER HAMMOND: -- I'm having a lot of
16 problems figuring that out as I was looking at that.

17 PANEL MEMBER BUCKPITT: Right.

18 OEHHA STAFF TOXICOLOGIST DODGE: I agree fully
19 with that, because when Dr. Wang made the translation, I
20 go, "Really, that high?"

21 PANEL MEMBER BUCKPITT: Milligrams or grams.

22 OEHHA STAFF TOXICOLOGIST DODGE: Caprolactam
23 metabolizes quite quickly down in the body.

24 PANEL MEMBER BUCKPITT: So, again, to my mind,
25 that makes the data somewhat suspect, right?

1 PANEL MEMBER BLANC: In terms of extrapolating
2 the --

3 PANEL MEMBER BUCKPITT: In terms of the urine --

4 PANEL MEMBER BLANC: In terms of extrapolating an
5 exposure level except to say it was very high.

6 One --

7 PANEL MEMBER BUCKPITT: Do you see what I'm
8 saying?

9 PANEL MEMBER BLANC: One theoretical point,
10 assuming that you -- that it was -- that you were more
11 convinced that it was reliable in terms of the exposure
12 level, a theoretical point is valid that you could make
13 some assumptions and back-extrapolate to what the air
14 levels would have had to have been once you've reached
15 steady state to get there, I suppose. I know we did that
16 once with blood levels in a fatal case of an exposure and
17 found that there were two workers who were exposed to a
18 particular chemical and one died and one didn't. And it
19 was a liver toxin, and they found that the
20 back-extrapolation with rodent data was right at the LD
21 50. So, you know, it was quite consistent with what we
22 observed. So depending on, you know, on those
23 difficulties.

24 I made a comment earlier that one of the four
25 areas was language inconsistency. And one of the

1 suggestions that I had to, even at that time, is that if
2 you're in a situation where you're forced to rely on
3 something that was reported, even if they used the
4 language that you wouldn't have preferred, is sometimes
5 you can put things in quotation marks to make it clear
6 what you're saying.

7 But just an example -- and it may be good to do
8 some final cleanup. If you go through the table that
9 we're discussing now and you've got these -- these three
10 case reports, all of which have --

11 CHAIRPERSON FROINES: Paul, what page are you on?

12 PANEL MEMBER BLANC: It's page 32, the table.

13 You'll see that the seizures are called grand mal
14 seizures, tonic convulsions, and tonic-clonic seizures.
15 Now, they're actually all tonic-clonic seizures, they're
16 just different euphemisms for the same thing. That's an
17 area in which unless you want people to be confused, I
18 would just say tonic-clonic seizure, which is a more
19 appropriate generic term. And I don't think that's a case
20 where you're forced to use the word "grand mal" even if
21 they used it, although you're translating some of these.
22 But I don't think that's something to belabor here. But
23 just again a very careful edit can help you solve
24 certain --

25 CHAIRPERSON FROINES: I'm sorry. I'm the one

1 person who missed the point you made. Could you restate
2 it?

3 PANEL MEMBER BUCKPITT: I sure could.

4 So if you look at some of the earlier studies in
5 animals, only two percent of the caprolactam comes out as
6 an unchanged compound in the urine, which means that 98
7 percent of the compound is metabolized.

8 CHAIRPERSON FROINES: Sure.

9 PANEL MEMBER BUCKPITT: So if these measurements
10 are of unchanged caprolactam, it would mean that the
11 exposure levels were huge.

12 CHAIRPERSON FROINES: Got it. Thank you.

13 PANEL MEMBER BUCKPITT: True.

14 PANEL MEMBER ARAUJO: Based on what you're
15 saying, yeah, it makes sense.

16 In their laboratory resource section, they
17 mention that the amount of policeable caprolactam was 13.6
18 to 15.4 grams. So that is far from the gray shade that
19 you're saying, but still denotes that is a very high level
20 of exposure.

21 OEHHA STAFF TOXICOLOGIST DODGE: Thank you.

22 CHAIRPERSON FROINES: It's still yours.

23 PANEL MEMBER ARAUJO: Oh, it's still mine. Okay.

24 So the second comment that I wanted to make is in
25 relation to the Ziegler study.

1 Given the importance of the study and how much
2 weight you're putting to actually get to a regulatory
3 decision, I wonder whether the raw data should be placed
4 as an appendix in the document, because this is not a
5 study that has been -- the published study doesn't have
6 the data that you have analyzed. And the data that you
7 have analyzed has only been analyzed by the institution, I
8 mean, by you, but it hasn't really been peer reviewed. So
9 if they want really to contest and they really claim that
10 you are like -- you analyzed and that you're cherry
11 picking that you are conducting your own --

12 PANEL MEMBER BLANC: Can we come back to that?

13 PANEL MEMBER ARAUJO: Okay. Because I have
14 deliberately not talked about their critique of --

15 PANEL MEMBER ARAUJO: Okay.

16 CHAIRPERSON FROINES: I would just say one thing.
17 And, that is, given Ellen Eisen's questions, it seems to
18 me your suggestion for clarity is not a bad one.

19 PANEL MEMBER HAMMOND: For the data, yeah.

20 PANEL MEMBER BLANC: I don't think that's what
21 the author agreed to, given his data. I don't think
22 that's appropriate at all.

23 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

24 CHIEF MARTY: That's what we were just talking about, you
25 know --

1 PANEL MEMBER BLANC: Well, it's his data.

2 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

3 CHIEF MARTY: We didn't ask him. It's his data.

4 PANEL MEMBER BLANC: So should I now turn to Part
5 2.

6 CHAIRPERSON FROINES: No, no, no. We have to do
7 two things: One is to take a break. But before we do
8 that, if it's okay - Bill Nazaroff?

9 PANEL MEMBER NAZAROFF: I'm here still.

10 CHAIRPERSON FROINES: You haven't been given an
11 opportunity to speak, so it's your turn.

12 PANEL MEMBER NAZAROFF: All right. Thank you.

13 This has been an interesting experience. I can
14 follow 90 percent of what's going on. It helps to have
15 met almost all of you before. And please use the
16 microphone. That's the 10 percent that I can't pick up.

17 So I have a few comments I want to share with
18 everybody. I did a careful read in the last days of the
19 materials and have forwarded some more detailed comments
20 to Andy Salmon, who had conveyed the document to me
21 originally.

22 But most of the things that I'm not speaking to
23 now are in the manner of "t" crossing an "i" dotting.
24 These five points that I'm sharing with you are a little
25 bit more substantive.

1 So, first, an issue of environmental chemistry
2 and proper reporting of levels.

3 On page 20 and elsewhere in the document there
4 are levels reported in ppm units that are grossly in
5 excess of the saturation vapor pressure. And I think
6 that's a misleading practice, that one generally would not
7 use a ppm unit to refer to any condensed phase material
8 relative to a volume of air.

9 So in that particular instance, the level
10 reported was 14,000 parts per million. The saturation
11 level is 3 parts per million. So clearly that's almost
12 all particle materials suspended in air. And it just
13 would be much cleaner to use milligram per cubic meter or
14 the equivalent mass concentration whenever it's not a
15 vapor or not predominantly a vapor that one is referring
16 to.

17 A second point appears in a couple of places in
18 the document, but the first instance is in Table 4. And
19 this just has to do with good practice for clear
20 communication. I found it very hard to make connections
21 between what was presented in Table 4 and what was
22 presented in paragraph form in the narrative. And I
23 couldn't do it easily in either direction, either looking
24 at the table, picking an entry in the table, and then
25 going back and finding it in the text, or vice versa.

1 So there's several ways that one could solve this
2 problem. And I don't really care what manner is used.
3 The studies could be labeled with a discrete letter. Each
4 paragraph could be given a subhead title that provides the
5 same reference mapping that's used in the particular
6 table. But I think -- I found this same problem in the
7 nickel document, that it's -- there's summary tables that
8 are helpful, but they would be much more helpful if they
9 could be effectively mapped back to the narrative text and
10 vice versa.

11 My third point is -- it has to do with the
12 general challenge when characterizing workplace exposures
13 of being able to tell us in the summary document what is
14 known, to the extent that anything is known, about the
15 particular particle sizes that were collected in the
16 sampling.

17 So when in one place there's a reference to light
18 flood of feathery flakes that form. Obviously visible
19 caprolactam condensate somehow suspended in the air.
20 Well, if one collected that material on an open-faced
21 filter sample, you'd get a large mass concentration. But
22 the relevance for inhalation exposure is not apparent at
23 all in that case because those are particles, or even
24 bigger than particles, way too large to be respired. So
25 if one was exposed in that environment, I would guess what

1 would happen is that you might inhale maybe with mouth
2 breathing and have deposition in the upper part in the
3 head, and then from mucous clearing you might end up
4 swallowing some of that material and it would represent an
5 ingestion rather than an inhalation exposure.

6 So it's -- I understand that these -- our primary
7 documents are often not clear on sampling techniques.
8 Even when they say they collected material on a filter, we
9 don't know necessarily it was an open-faced filter, which
10 would be total suspended material, or it had some sort of
11 size-selective inlet on it.

12 But I would encourage you at OEHHA to be
13 attentive to this issue when you're referring -- or
14 reviewing documents and convey as much as is possible into
15 your summary report.

16 My fourth comment of five has to do with the case
17 that's made within this document for using what is
18 primarily particle phase exposure conditions. And now I'm
19 not referring to the acute REL determination, because that
20 was principally gaseous, but to the Reinhold study, which
21 was principally particulate. There is a case made - it's
22 quite weak - in the REL document that using particle phase
23 exposure is an appropriate means of setting a vapor phase
24 limit, which is, in effect, what you end up doing, because
25 the limit you set is well below the saturation vapor

1 pressure.

2 Some effort along these lines was made in
3 responding to one of the criticisms that was submitted on
4 behalf of the Carpet and Rug Institute. I guess that's
5 what they are. That effort helped to make the case, but I
6 even didn't think that was sufficient to make it strongly.

7 And in any event, I think that case needs to be
8 made in the TSD not in response to a criticism that's off
9 line. Because when you get down to the final analysis,
10 you're setting a reference exposure level for what is
11 primarily going to be a gaseous species at least if it's
12 at these low levels. And you're principally using a
13 particle phase exposure experiment as the basis for doing
14 so. And the translation of one to the other, you know, an
15 argument can be made. But it really needs to be made
16 effectively to substantiate that translation or
17 transition.

18 And so my final comment - and it's along these
19 same lines a bit - and it has to do with the presentation
20 made today on the very last slide, number 33. And the
21 argument was made in a critique that there would have been
22 a vapor in part of these rat exposures that would have
23 contributed to the total exposure and therefore the
24 exposure levels might have been somewhat higher than the
25 particle level that was reported. I don't find the

1 response persuasive. In fact, I don't find it persuasive
2 at all.

3 So, yes, the particles were generated by a 1-to-1
4 mixture of water and caprolactam that was then dispersed
5 in a spray. And the spray was injected into the exposure
6 chamber, I presume. One thing that might well have
7 happened is that -- and I can't tell from the original
8 paper, but all I have to work with is Reinhold's paper. I
9 don't have the industry report. The water that was in
10 those particles may well have evaporated nearly or
11 completely, leaving behind pure or nearly pure caprolactam
12 particles.

13 In that event, Henry's Law partition coefficient
14 really has no significance in helping to make an argument
15 that there -- all of this caprolactam would be in the
16 condensed phase rather than establishing a vapor particle
17 equilibrium that could conceivably have risen up to the
18 saturation level.

19 I couldn't say that the saturation level would be
20 present in the vapor phase in these studies. But I don't
21 find OEHHA's response persuasive otherwise that one should
22 take, for example, the 24 milligram per cubic meter
23 particle level at the lowest exposure and say that was
24 indeed the total exposure for these laboratory animals.

25 So I'm not sure what the most effective response

1 is to this particular point. But I don't find the
2 response that OEHHA has made so far persuasive to me in
3 dismissing the concern that there may have been a vapor
4 exposure in addition to the particle exposure.

5 But those are my comments. Thank you.

6 OEHHA ENVIRONMENTAL MODELING SECTION SUPERVISOR
7 BLAISDELL: Dr. Nazaroff, would you expect the water vapor
8 to be at saturation so that the evaporation would not
9 occur off the particles to the aerosol?

10 PANEL MEMBER NAZAROFF: Well, if the water -- you
11 know, when these things are generated, the particles are
12 going to be sent into the exposure chamber. The rats, I
13 presume, are not exposed at 100 percent relative humidity.
14 It may have said in the original paper, but -- and I don't
15 know that. Which means that there's a driving force for
16 evaporation of water to leave the caprolactam/water mix
17 and go into the vapor phase.

18 Whether -- you know, this is a complicated
19 thermodynamic situation at this point where you've got a
20 material that -- if it's a 1-to-1 mixture of caprolactam
21 and water, even what we know as the Henry's Law constant
22 that's based on a dilute mixture approximation, and so
23 there's activity coefficients and some other complexities.
24 So I don't know whether these particles would completely
25 dry out or they would hold some water behind in

1 equilibrium and you'd end up with some, you know, liquid
2 water combined with caprolactam mix.

3 But the argument that the Henry -- because the
4 Henry's Law constant is so small, that therefore there
5 would not have been significant vapor of caprolactam
6 released from the particles, just doesn't hang together.

7 CHAIRPERSON FROINES: Bill?

8 PANEL MEMBER NAZAROFF: Yes.

9 CHAIRPERSON FROINES: This is John Froines.

10 Do you have a suggestion of how they might
11 improve that issue?

12 PANEL MEMBER NAZAROFF: Well, you know, it seems
13 to me that the -- one way of doing it is to grant the idea
14 as an upper bound uncertainty estimate - and this doesn't
15 introduce any more uncertainty than the kinds of
16 uncertainties that we're having to deal with anyway in
17 setting regulatory levels - and just allow that the levels
18 might have been as high as an upper bound, as reported
19 here, 37, 83, 256. If you rerun -- if OEHHA were to rerun
20 the analysis that they did assuming that those
21 concentrations applied, and then take it through the
22 exercise of calculating a new 8-hour REL and a new chronic
23 REL on that basis, I don't know what would happen. I
24 expect that the change would be less than a 50 percent
25 increase in the levels that were set. It may be that in

1 the end, you know, OEHHA would judge that it's -- that the
2 lower standard should be set anyway, given the
3 uncertainty, as a possibility. But I think that that's
4 probably a preferable way to go and just allow for the
5 uncertainty in exposure rather than to dismiss this
6 concern.

7 CHAIRPERSON FROINES: Do any of the Panel members
8 have a comment?

9 PANEL MEMBER BLANC: Yeah, I disagree with that
10 if I understood it correctly. And if I understood it
11 incorrectly, then I wouldn't feel as strongly about it.

12 If the suggestion is that in the text it should
13 say, as a broad experiment, we reran this by increasing
14 the exposure levels by 50 percent and came up with an REL
15 that's 50 percent higher, but we don't believe that
16 there's enough data to support that non-public health
17 protective approach, then that is okay.

18 But to discount the calculations by 50 percent
19 because you assume 100 percent vapor saturation
20 superimposed on the inhaled dose based on the
21 concentration of the aerosol, I think is not public health
22 protective, and so I wouldn't support that.

23 PANEL MEMBER NAZAROFF: So let me react to that.

24 Is that Paul that --

25 PANEL MEMBER BLANC: Yes.

1 PANEL MEMBER NAZAROFF: -- that made those
2 comments?

3 Yeah, thank you.

4 I'm perfectly fine with the first way that you
5 presented the response. I do want to make clear that the
6 50 percent number, it's not as simple as that, because
7 it's a constant 13 milligrams per cubic meter. So it's 50
8 percent at the lowest exposure level and then
9 proportionately lower at higher exposure levels. And so
10 one would really need to rerun the analysis.

11 PANEL MEMBER BLANC: Yeah, that was the worst
12 case. So I can -- it sounds like we're in agreement. So
13 I don't think that's a problem.

14 PANEL MEMBER NAZAROFF: Yeah. I mean I don't
15 have any problem in the end if they, as you say, for
16 public health protective purposes say, in the presence of
17 this uncertainty, you know, we're choosing to go with the
18 more conservative value. That's completely legitimate
19 from my point of view.

20 CHAIRPERSON FROINES: Is that appropriate for
21 you, Melanie.

22 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

23 CHIEF MARTY: Yes, that's fine. We can revisit the
24 response to comment as well and then put some text into
25 here about --

1 PANEL MEMBER BLANC: And then I'll be coming back
2 to other -- he raises another issue in terms of how you
3 deal the responses that I'll come back to you after the
4 break.

5 CHAIRPERSON FROINES: Okay. I think that we were
6 going to take a break. But it's now 12:35, which means I
7 think we should take lunch.

8 Forty minutes?

9 PANEL MEMBER BLANC: That's not realistic.

10 CHAIRPERSON FROINES: Well, do an hour and --

11 PANEL MEMBER BLANC: Well, still I mean just --
12 as long as people honor the time you set.

13 CHAIRPERSON FROINES: Well, 45 minutes then.
14 Don't you think we can do that?

15 Somebody else --

16 PANEL MEMBER EISEN: I do. I think that's good.

17 PANEL MEMBER BLANC: All right, fine.

18 Bill, are you going to be on this afternoon?

19 PANEL MEMBER NAZAROFF: Yeah, I'm -- well, it's
20 well into the afternoon here in Washington. So just tell
21 me when I should be back on. I think I'm -- I lost my
22 support people here. So I'm just going to leave the phone
23 on and put it on mute and do something else for a little
24 while.

25 PANEL MEMBER BLANC: I think 10 after 1 is when

1 we should reconvene if that's 45 --

2 PANEL MEMBER NAZAROFF: Yeah.

3 PANEL MEMBER BLANC: No, is that right?

4 No, no, 20 after 1.

5 PANEL MEMBER NAZAROFF: Okay. Thank you.

6 (Thereupon a lunch break was taken.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

AFTERNOON SESSION

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CHAIRPERSON FROINES: Bill, are you on the phone.

PANEL MEMBER NAZAROFF: I am on the phone?

CHAIRPERSON FROINES: Thank you.

We're waiting for Blaisdell.

OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

CHIEF MARTY: Let's just start.

CHAIRPERSON FROINES: Are you sure?

OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

CHIEF MARTY: Yes.

CHAIRPERSON FROINES: We're going to start with Paul finishing his commentary.

And so we will officially be restarted.

I don't want you to feel left out.

PANEL MEMBER BLANC: All right. So we're back?

CHAIRPERSON FROINES: We're waiting for you to start.

PANEL MEMBER BLANC: Okay. Just to review, we've concluded the discussion of the existing revised document as you have it. Now, we're moving on to a discussion of OEHHA's responses to the industry comments that they had for this revised document and indirectly to revisions that would therefore appear here but don't appear on the version that you have.

So obviously most of the presentation focused on

1 comments made on the use of the Ziegler study and OEHHA's
2 response to them. As a generic point, I would say that
3 it's not -- other than changes in the table such that the
4 table -- the tabular only presents the non-parametric
5 ordinal statistical test, it was not clear from the
6 presentation nor is it necessarily clear at all from here
7 except by inference that actually there would be no other
8 textual changes to the document. And this is something
9 that Bill brought up in his comments on the telephone
10 also.

11 So before I comment on what maybe should be in
12 the texts other than -- because the only other textual
13 change we talked about was in response to Patty's comment,
14 and then Bill brought up a point about the --

15 CHAIRPERSON FROINES: Patty's comment?

16 PANEL MEMBER BLANC: Kathy's comment. And then
17 there was a comment from Bill on the phone about more
18 textual justification for one of the analyses.

19 So I guess what I would like to hear first is a
20 clarification. Were there --

21 CHAIRPERSON FROINES: Paul, there was the issue
22 of Henry's Law.

23 PANEL MEMBER BLANC: And Henry's Law as well,
24 which was partly -- yes, there was partly in response to
25 critique -- are there other things that you were planning

1 to add to the written document that were just implicit in
2 what you were saying or not?

3 OEHHA ENVIRONMENTAL MODELING SECTION SUPERVISOR
4 BLAISDELL: There was editorial changes here and there,
5 but --

6 PANEL MEMBER BLANC: Well, what are they that
7 you're planning? Because we don't have a text, so how are
8 we supposed to know?

9 OEHHA ENVIRONMENTAL MODELING SECTION SUPERVISOR
10 BLAISDELL: There's a few editorial changes, you know,
11 just grammatical things, that sort of thing.

12 PANEL MEMBER BLANC: No, I meant in response --
13 are there any -- you know, when you get a journal article
14 back from a journal, you are supposed to send in a
15 response to them -- response to the reviewer's critique.
16 But that's usually insufficient if you just simply respond
17 in the letter but there are no changes in the document
18 consistent with that.

19 So, again, what I'm asking, not in terms of
20 editorial changes because of other things you've noted,
21 but in terms of the responses that you talked about, are
22 there any textual changes? For example, "Although we
23 considered blah, blah, blah, we determined that we would
24 proceed with blah, blah, blah"?

25 OEHHA STAFF TOXICOLOGIST DODGE: Okay. We'll

1 probably need to clarify which eye blink method we
2 specifically used. We could clarify that in the document.
3 In other words, we used the data from the manual
4 traditional lights-off approach. We didn't use the data
5 from the semi-automatic approach, which Dr. Ziegler in his
6 published study felt needed more vetting before he could
7 rely on it.

8 PANEL MEMBER BLANC: Yes. And I would fully
9 agree that that's one very good example of something that
10 wasn't clear from the revised document and your argument
11 as to why you were -- why you did what you did was cogent
12 and convincing.

13 I would overall say that your response to the
14 critiques as they related to the use of the Ziegler data
15 were to me convincing and appropriate. I think that the
16 presentation -- it's very difficult to present such a very
17 complex thing in oral format such as this. So some of it
18 didn't come across as convincing -- as convincingly as it
19 might have, but I think in the written comments I think
20 it's straightforward. And I do think that there are key
21 places where one or two sentences introduced into the
22 document, along the same lines as the editorial change
23 that you're suggesting, that I agree with, as to why the
24 standard blink test is appropriate, would be reasonable.

25 So, for example, when you discuss as a sort of

1 secondary analysis the eye irritation findings, I believe
2 that it's reasonable to also say that eye irritation
3 correlated with change from baseline in eye blink and that
4 eye irritation -- and that eye blink did not correlate
5 with perceived odor, which could suggest a subjective
6 modifier.

7 And I also think that there's no reason in the
8 document to go into the length that you were forced to go
9 into in addressing the day-of-test argument, which I think
10 was a stretch to even suggest it. And then I think your
11 rebuttal to it was completely appropriate. I don't think
12 that there is any substantive or substantial evidence in
13 the data that supports such a backwards interpretation
14 that would require you to stand the data on its head. But
15 I do think that simply saying that per the 1-hour time
16 frame at which you -- which was the data time frame that
17 you used, there was no relation -- there was no
18 statistical relationship between day of test and an effect
19 is appropriate. You don't have to say what there was for
20 which the data you didn't use. You already say in the
21 document why you used 1-hour data, I believe, because
22 that's what the standard is based on. So I believe that's
23 why you're there.

24 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, that's
25 correct.

1 PANEL MEMBER BLANC: And I think what you didn't
2 say in your verbal comments, although it's present in your
3 written text, is were you to proceed with the kind of
4 analysis that was suggested, I would have been sitting
5 here saying that you've overadjusted the data, because I
6 think that that's what it would have done. But in any
7 event.

8 I also think that the few sentences that have to
9 do with the -- it was just a question John asked about the
10 disassociation between eye irritation and --

11 CHAIRPERSON FROINES: -- redness.

12 PANEL MEMBER BLANC: And redness?

13 -- perceived eye irritation and quantified eye
14 redness. I could go either way because you don't
15 use -- you don't rely on eye redness as an endpoint and
16 you only invoke eye irritation as a secondary, you know,
17 non-definitive thing. But if you wanted to have a
18 sentence saying there, "We do note that there wasn't a
19 dose response for quantified eye redness, this is not
20 inconsistent with what has been reported by other
21 investigators," and that's fine too.

22 So I think that you should -- without belaboring
23 the point, I think you should systematically go through
24 your written comments, and where you believe it's
25 appropriate to put in additional text that draws on that,

1 you should do so, without saying, "It has been suggested
2 that such and such but we did such and such." Just the
3 parts that are relevant and demonstrative. And I don't
4 think that I'd feel compelled to review that again,
5 because I've seen, and we all have seen, your written
6 response. And there's not a part of your written response
7 in that regard that I think is -- is not coherent or
8 couldn't be used in that manner.

9 Does that make sense?

10 OEHHA STAFF TOXICOLOGIST DODGE: Yes, that makes
11 sense.

12 PANEL MEMBER BLANC: And, Melanie, can you
13 oversee whatever extraction that is?

14 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
15 CHIEF MARTY: Sure.

16 One other little thing we wanted to add in
17 response to Bill's comments about the vapor versus
18 particle. We did talk about that in the last draft on --

19 CHAIRPERSON FROINES: Melanie, could I ask you to
20 hold that for just one second?

21 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
22 CHIEF MARTY: Sure.

23 CHAIRPERSON FROINES: Because Paul's finished his
24 comments, I believe.

25 PANEL MEMBER BLANC: Almost, I think.

1 CHAIRPERSON FROINES: Almost. And what I want to
2 do before you respond is to give the Panel -- other
3 members of the Panel a chance to add to or comment on what
4 Paul said.

5 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
6 CHIEF MARTY: Okay.

7 PANEL MEMBER BLANC: So in summary then, I think
8 that, you know, the responses were cogent and appropriate
9 and convincing overall in terms of that.

10 And speaking for Dr. Glantz, he is supportive of
11 using the Page test as the sole analytic technique,
12 because he doesn't feel it's more informative to have
13 side-by-side multiple versions of the testing. And I
14 think that's fine. How you handle whether you -- I
15 wouldn't even actually then go into text detail aside from
16 leaving it out of the tables. I wouldn't say -- and we
17 also did, you know, a parametric test, which doesn't have
18 a rank order built into it.

19 I think that your findings that the trend -- or
20 the exposure response is unlikely to be due to chance is
21 not compromised by having, you know, 20 subjects studied
22 at four different levels. And that's actually a rather
23 large exposure -- human exposure study as those go by.

24 I also think that there's ample precedent for
25 using raw data when available, generically. We often do

1 that with unpublished pesticide-related data. And there's
2 other data in this document, in fact, that are not
3 published that are -- the Haskell lab data, for example.
4 I think in this case it's all the more cogent because
5 they -- what they published as opposed to what they didn't
6 publish was the data based on an non-validated metric,
7 which was this novel way of measuring eye blink. So it's
8 a second reason for using the raw data.

9 I think you could avoid some -- just be cautious
10 when you use the word "trend." It's not your fault. But
11 unfortunately the way people often will use "trend" when
12 they -- inappropriately is to refer to an ordinal level of
13 response, which does not actually meet a threshold for
14 rejecting that all hypothesis, you know, "I saw a trend."
15 So I don't think it's called the Page test for trend, is
16 it? Does it have the word "trend" in the title? Or does
17 it?

18 OEHHA RESEARCH SCIENTIST MALIG: Yeah, it does
19 have.

20 PANEL MEMBER BLANC: Okay. So just be cautious.
21 You'd call it that But then as a descriptor, I would try
22 to avoid the word "trend" to not confuse people who are
23 going to -- it's not your fault, but it's just how it's
24 crept into the language.

25 OEHHA STAFF TOXICOLOGIST DODGE: Right. I'm

1 aware of that now, thanks to you. But it is called the
2 Page's trend test.

3 PANEL MEMBER BLANC: Well, you can say that when
4 you refer to it. But then if you just have a sentence
5 where "we saw a trend," I wouldn't say that. I'd say, "We
6 saw a statistically significant effect."

7 And I think we've -- I've already given you my
8 comment in terms of this exchange about the -- I would not
9 presume that there was 100 percent vapor saturation
10 superimposed on the exposure that's in the aqueous phase
11 for standard-setting purposes.

12 Another thing that I hadn't prepared to say but
13 it occurred me as Bill was talking about particulate
14 versus vapor phase. I certainly agree with the comment
15 about parts per million, and I saw you all nodding your
16 heads that you would go back and be more attentive to that
17 where appropriate. But I do think that because the end
18 response that you use for the chronic effect is a nasal
19 passages response -- isn't that correct?

20 OEHHA STAFF TOXICOLOGIST DODGE: Yes.

21 PANEL MEMBER BLANC: So the issue of particle
22 size as opposed to, you know, vapor issues is somewhat
23 less an issue, it would seem to me in that case. And I
24 don't know whether -- well, larynx too. But I mean it's
25 not -- you're not talking about an alveolar deposition.

1 So you may want to comment on that or make a sentence that
2 says, you know, just of note this is not an endpoint
3 effect that would be highly sensitive to -- that would
4 misreflect a particle size. Or to the extent it was a
5 particle distribution that would more tend to deposit in
6 this area, that's all the more so relevant, or however you
7 want to word that.

8 CHAIRPERSON FROINES: Can I interrupt you?

9 PANEL MEMBER BLANC: Yeah.

10 CHAIRPERSON FROINES: Bill?

11 PANEL MEMBER NAZAROFF: I'm here.

12 CHAIRPERSON FROINES: Did you just hear Paul's
13 comments about basically issues you raised?

14 PANEL MEMBER NAZAROFF: Yeah, I heard.

15 CHAIRPERSON FROINES: I wanted to give you a
16 chance to respond if you wanted to.

17 PANEL MEMBER NAZAROFF: Maybe we should hear Paul
18 out until the end.

19 PANEL MEMBER BLANC: I think that's pretty much
20 everything I wanted to say about the responses to the
21 critique.

22 PANEL MEMBER NAZAROFF: Okay. Well, my, I guess,
23 reaction is that to first -- to first order I think it is,
24 especially in an arena where we don't understand a lot of
25 things at a high level of precision and yet we still have

1 to make judgments, that coarse particles and water soluble
2 vapor are likely to have comparable places where they
3 deposit in the respiratory tract.

4 PANEL MEMBER BLANC: Yeah.

5 PANEL MEMBER NAZAROFF: The concern I guess is
6 just to be a bit cautious and not so sanguine as to say
7 that these processes are exactly the same. A five micron
8 caprolactam particle depositing in the nasal passages is
9 going to have an insult that's somewhat more localized
10 than that same material would if taken up as a vapor. And
11 so -- I mean now I'm getting outside of my depth, but I
12 understand -- in terms of talking about the biological
13 responses. But I understand from the physical science
14 point of view that the degree of localization that would
15 occur could be quite different for particles and for vapor
16 material.

17 And, again, this isn't a critique about the final
18 determination as to whether a number should be set at what
19 particular level and interpreting the data that we have.
20 The critique is about substantiating or justifying or
21 explaining the rationale. And I do have a residual
22 concern that the document as it stands is just a bit too
23 glib in equating the particle phase exposure studies with
24 what's ultimately a standard to protect us against vapor
25 phase exposure.

1 CHAIRPERSON FROINES: Do you have a suggestion
2 for how they might address that?

3 PANEL MEMBER NAZAROFF: Well, I think if there --
4 I had sent -- I don't remember where I've had a comment on
5 this particular point. I think it's just a matter of
6 expressing with greater -- you know, it may take a
7 paragraph or a couple of paragraphs to express the kind of
8 underlying exposure aspects that would be different in
9 these two cases and to say that, you know, "We've thought
10 about this or reflected on it or considered it," and say,
11 you know, "given the available evidence, this is the best
12 we think we can do." You know, it's a generic problem
13 actually for any semi-volatile species, because we're
14 going to be having -- you can't expose laboratory animals
15 or anybody to extraordinary high vapor phase
16 concentrations because you get above the saturation vapor
17 pressure. So you're going to end up in laboratory studies
18 with particle-based exposures, in all likelihood. And yet
19 with adjustment factors and the goal of public health
20 protection, we're going to want to protect against things
21 that may largely be in the vapor phase.

22 So, you know, how one reconciles that conflict --
23 I'm relatively new to this Committee. I don't know how
24 it's been addressed in other settings. But it seems to me
25 to be a fundamental problem in the nature of this work

1 when we're dealing with a semi-volatile species.

2 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

3 CHIEF MARTY: Can I chime in a little bit here? This is
4 Melanie.

5 Bill, we did talk about that where we're in the
6 section on the derivation of the 8-hour, which is a
7 repeated 8-hour REL. And it's also pertinent to the
8 chronic. But, you know, we recognize that there is -- it
9 creates an uncertainty in calculating the REL. But we
10 also note that, you know, very water soluble gases like
11 caprolactam will scrub out in the upper respiratory tract.
12 So while we recognize there may be, you know,
13 microscopically dosimetric differences if it's a particle
14 versus a reactive gas or water valuable gas, there isn't a
15 whole lot we can do about that quantitatively for this REL
16 derivation.

17 So perhaps we didn't emphasize that enough. And
18 we can certainly put in more verbiage to that point.

19 PANEL MEMBER NAZAROFF: And I think that's my
20 main point. The point is not that I'm calling into
21 question the derivations that have ultimately been made.
22 It's just that I found the document a little bit too quick
23 to say, "Well, because it deposits in the nose, and
24 because, you know, they're big particles and because it's
25 a water soluble vapor, therefore we can treat these as" --

1 lack language, but the sense I have in my memory is
2 "perfectly equivalent." And I'm just cautioning that, you
3 know, if you want to have kind of robust -- we allow
4 uncertainty where uncertainty exists in trying to make a
5 decision, an interpretation, application for public health
6 practice. But when you make another statement that sort
7 of cuts to the underlying science, that goes against what
8 it is that we know, then you'll run into trouble with me
9 as a reviewer.

10 CHAIRPERSON FROINES: Alan.

11 PANEL MEMBER BUCKPITT: Not really any further
12 comments. I see what Melanie is trying to say, and I
13 think in a couple of paragraphs that could be taken care
14 of. Because this is a water soluble substance and it's
15 going to deposit in the upper portions of the respiratory
16 tract. It doesn't matter whether it's a vapor or a
17 particle. I think both things would impact.

18 CHAIRPERSON FROINES: Ellen.

19 PANEL MEMBER EISEN: So I guess -- I do want to
20 say I think you've done a good job in interpreting and
21 reanalyzing the data and in interpreting the results. But
22 I also feel compelled to say that I think the data are not
23 that strong, that you need -- but it's all there are, so
24 you're going to need -- and you need to make a decision.
25 So I support the decision.

1 But I do want to go on record as saying I think
2 there is a -- the data are weak, and that if it weren't
3 for everything else we know about this chemical, we'd need
4 stronger data. So I think it's only -- it works okay,
5 suffices I think as a basis for standard setting because
6 of all of the other information that we have in the
7 background. Okay?

8 I mean I'm okay with your Page statistic. I'm
9 okay with the one hour. I'm okay with going with the
10 old-fashioned blink. I mean all of those things I think
11 are moves that you can justify piece by piece. But you
12 put it all together and you've got one study for 20
13 subjects and it's thin.

14 CHAIRPERSON FROINES: Kathy?

15 Thanks, Ellen. I think that -- I don't know what
16 Paul would say, but I think you're right-on.

17 PANEL MEMBER HAMMOND: I think I've made all my
18 comments, and overall thank you for an awful lot of work
19 responding to a lot of comments.

20 CHAIRPERSON FROINES: Jesús.

21 PANEL MEMBER ARAUJO: I will mention again the
22 same comment or motion that I made initially, about
23 whether it is possible to have the raw data with the
24 permission of the author placed as an appendix. Or I've
25 been thinking, what other way we could think of that can

1 allow any person who would want to have access to that raw
2 data and wanting to analyze the data themselves and that
3 they could do it.

4 One of the things that again I'm concerned is
5 about the multiple times throughout their response that
6 they attack or they question or they -- the way or the
7 different ways how you're analyzing the data, how you're
8 picking it and -- or cherry-picking data, how you analyze
9 it in the way you want and that's why you're reaching to
10 different conclusions.

11 And so I'm looking at -- for instance, I'm
12 looking at their Table 2 in their paper, I'm looking at
13 your Table 1 in your report. And I don't understand why
14 there are some small discrepancies in some of the numbers
15 that -- if the data is basic statistics like average and
16 median, and we should be fair in between the two tables.
17 So unless the data provided included some data points that
18 were not taken into their analysis then and now you're
19 taking it or that there is some little variations in
20 between the data that they use or the data that you use.

21 But in their Table 2, so they have all the
22 various parameters that they've -- different
23 concentrations and the different time points. I mean at
24 00.15, 0.5 and 5 and at different time points, 0 minutes,
25 1 hour, 3 hours and 6 hours.

1 And in your Table 1, so you refer to the 1-hour
2 exposure, and there are at least two different values in
3 terms of the mean and the standard deviations for
4 different concentrations. Which again if somebody really
5 wanted to question your analysis -- so we'll say what is
6 published is that it -- it says that the mean was 30 --
7 I'm sorry -- was 29.7 and 5 micrograms per cubic meter,
8 and now you're showing that it's 34.35. So it will
9 introduce a lot of -- I mean it's not just the analysis.
10 It in the data procedures that is different.

11 Do you have an explanation of why?

12 OEHHA STAFF TOXICOLOGIST DODGE: Yes. The data
13 in the published report by Ziegler, that Table 2, that's
14 the data from the semi-automatic or neon-light approach,
15 from the new method that was felt to need more vetting, as
16 Dr. Ziegler explained in his discussion.

17 Now, in the methodology he says you should also
18 rely on, you know -- he indicated you should rely on the
19 standard approach as well and not so much on this other
20 data.

21 But, yet, in their results section, the only eye
22 blink data they present is from their semi-automated
23 approach. And that's -- and I guess that's -- it's almost
24 like some -- some group in Ziegler wrote the Discussion
25 section and methodology, and somebody else did the Results

1 section, because their results doesn't match -- their
2 results section just doesn't match what they're trying to
3 say in their methodology and discussion sections.

4 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
5 CHIEF MARTY: And, Daryn, did not Ziegler -- you had
6 Ziegler on this --

7 OEHHA STAFF TOXICOLOGIST DODGE: Well, yeah. It
8 was mostly to confirm some other findings and some of the
9 other objective measures.

10 But I didn't ask him specifically why they only
11 relied on this data and the Results section based on a
12 method they say they don't really trust yet. My guess is
13 that it's the only set of eye blink data they had full
14 data on for -- you know, for all time points and all
15 subjects. So they wanted to present that.

16 PANEL MEMBER BLANC: I think that there's a
17 simple solution to this problem, which is just in addition
18 to the added text that you're going to be putting about
19 why you used the old -- the standard eye blink technology.
20 You can have a simple footnote to that table which says,
21 "Note that these numbers differ from the
22 published" -- "the data as published, because they present
23 the automated results." And that will circumvent any
24 misunderstanding.

25 Don't you think that would solve it?

1 PANEL MEMBER ARAUJO: Sure, yeah.

2 PANEL MEMBER BLANC: I actually -- I mean I --
3 there's two questions here. One is if Dr. Ziegler said,
4 "Yeah, it's okay to publish my raw data as an appendix."
5 Then should you do it? Because obviously if you didn't,
6 you couldn't. And would such an appendix then be helpful?

7 I think this would be a moot point because I'd be
8 shocked if he said, "You can publish my raw data as an
9 appendix."

10 CHAIRPERSON FROINES: I think that the --

11 PANEL MEMBER BLANC: And you have no right to
12 publish --

13 CHAIRPERSON FROINES: I think that the questions
14 that Ellen raised earlier today might be -- might have --
15 she might not have had to ask those questions if she had
16 seen the data.

17 PANEL MEMBER BLANC: Well, again, my -- two part.
18 One is just pragmatic, which I'd be shocked if they said
19 it. My own personal opinion, I don't think you need it as
20 an appendix. But I think it's a moot point, because I
21 just can't believe somebody would agree to that. I
22 certainly don't think if he doesn't allow it, that the
23 document is substantively weakened by not having such
24 data.

25 CHAIRPERSON FROINES: No, that's clear.

1 PANEL MEMBER EISEN: I mean I think -- your point
2 is well taken. And I think if someone -- I mean it is
3 interesting. I think that the whole question really comes
4 down to why there's a difference between the two eye blink
5 methods and the 1-hour results. I mean that's --
6 right? -- because in every other -- in the longer term
7 exposure rows, the new method of eye blink counting does
8 find significant result. It's only in the one hour that
9 it fails to.

10 So that discrepancy between the old and the new
11 counting method is only relevant really in the place where
12 it counts, which is in the one hour. And it only turns
13 possibly on how many blinks are in the highest category,
14 whether it's 20 -- I mean that's just -- you, know, so it
15 does sort of bring the whole thing down to this very sort
16 of small perturbation in the data, which is -- so I guess
17 I don't think it's going to -- it doesn't really provide
18 any comfort to present those results. It just ought to
19 make people more uncomfortable, because it just clarifies
20 sort of the detail to which the whole result turns, you
21 know, that we're using to justify this PEL. So I don't --

22 PANEL MEMBER BLANC: Well, I disagree again,
23 because actually it's not just this one thing. In fact,
24 this was the way in which all of the endpoints were going.
25 And they were fairly conservative. For example, they

1 don't use nasal -- sense of odor because that's not really
2 a necessarily toxic endpoint. They don't use the
3 integrated score of irritant effects, because you're sort
4 of counting things more than once. And they don't use the
5 eye irritation, because that's subjective. But when you
6 look at the data - and this was the response of the Panel
7 the last -- look at the published data even in the
8 paper - and this was the response of the Panel as a whole
9 last time - it's clear that something is happening at five
10 that isn't happening at lower doses, because it's
11 across --

12 PANEL MEMBER EISEN: Well, I don't if it is so
13 clear. Is it so clear using the other accounting method?
14 No.

15 PANEL MEMBER BLANC: Yes, it is, if you look at
16 all of the endpoints. It's all there. And there are --
17 so despite the way that they handled the data, which was
18 weaker than it should have been, I mean that was -- and we
19 actually tried to do -- we looked at another sort of crude
20 non-parametric way of looking at it, which is if you look
21 at what ranks as the highest ordinal response for each of
22 the outcomes, because there are five different outcomes,
23 or four -- is that right, Melanie? Is it four or five?

24 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
25 CHIEF MARTY: Total with or without?

1 PANEL MEMBER BLANC: It's either four or five
2 anyway. I think it's five. And so -- and you have four
3 exposure levels. And so if you -- and you could say for
4 these four exposure levels and these five metrics of
5 effect, who ranked highest ordinally. And for four of
6 them you're highest at 5 milligrams, and four one of them
7 you're tied between 5 and the next lowest dose.

8 So I mean I suggested, okay, just what is this --
9 do a statistical test of that as a, you know,
10 non-parametric distribution for endpoints.

11 PANEL MEMBER EISEN: Right. I mean there well
12 may be trends. I'm not saying that there aren't trends
13 and there isn't an --

14 PANEL MEMBER BLANC: Trends are unlikely to be
15 due to chance.

16 PANEL MEMBER EISEN: Possibly. But, you know,
17 exactly where you set a level, I think that's a whole
18 another question.

19 PANEL MEMBER BLANC: Well --

20 PANEL MEMBER EISEN: You're having to rely on
21 this to do that. But I think it's week data.

22 PANEL MEMBER BLANC: But what they're doing is
23 they're saying that that's -- that 5 is not a no effect
24 level. I think that's really basically what they're
25 doing. And because the next lowest one was .5, even if

1 you said we have no NOAEL, we only have a LOAEL at 5, and
2 then you divided that by 10, you'd -- so it'd be at the
3 same place again.

4 So from -- and they're not doing a benchmark
5 approach with this endpoint.

6 So for me the cup is half full, not half empty.
7 That's all that I'm saying.

8 CHAIRPERSON FROINES: I'm going to take the
9 prerogative of the Chair. And I would like to bring this
10 issue to closure, because some of these issues that are
11 being discussed could go on for a substantial period of
12 time.

13 And unless somebody has specific suggestions to
14 OEHHA, then I think we should move on.

15 PANEL MEMBER BLANC: Can I just -- one small
16 thing that I forgot to say?

17 CHAIRPERSON FROINES: Do what you -- yeah.

18 PANEL MEMBER BLANC: One very small thing, which
19 is --

20 CHAIRPERSON FROINES: What if I said no? Would
21 that stop --

22 PANEL MEMBER BLANC: I could say I'll to it off
23 line.

24 (Laughter.)

25 PANEL MEMBER BLANC: You know, there is also in

1 terms of clarity where you say zero time when they first
2 enter the booth. But isn't that before any exposure? Or
3 their entry in the booth and there already is exposure
4 there?

5 OEHHA STAFF TOXICOLOGIST DODGE: The measurements
6 were taken about five minutes after --

7 PANEL MEMBER BLANC: -- they started exposure.

8 OEHHA STAFF TOXICOLOGIST DODGE: Right.

9 PANEL MEMBER BLANC: So there even is some early
10 exposure. So it's not time zero actually.

11 OEHHA STAFF TOXICOLOGIST DODGE: That's what they
12 called it in the paper. And then I tried to -- yeah, in
13 parens I was saying, you know, this is what they actually
14 meant.

15 PANEL MEMBER BLANC: Okay.

16 OEHHA STAFF TOXICOLOGIST DODGE: Roughly five
17 minutes after exposure they started --

18 PANEL MEMBER BLANC: After the initiation --

19 OEHHA STAFF TOXICOLOGIST DODGE: -- looking at
20 all these various endpoints --

21 PANEL MEMBER BLANC: Gotcha, gotcha, gotcha.

22 OEHHA STAFF TOXICOLOGIST DODGE: -- which, you
23 know --

24 PANEL MEMBER BLANC: I missed that in the written
25 thing. That's why I was asking. And I think I was

1 unclear a couple of times when I read it and when I heard
2 it.

3 So, if anything, it's all the more biasing
4 towards not seeing an effect because their zero level is
5 not even zero. It's some exposure.

6 OEHHA STAFF TOXICOLOGIST DODGE: Um-hmm

7 PANEL MEMBER BLANC: So that's fine.

8 PANEL MEMBER ARAUJO: I have another small point.

9 CHAIRPERSON FROINES: No, let me just say at this
10 point, what we're talking about is not the generic issue
11 here. We're talking about what do we recommend to OEHHA
12 to improve -- that might improve their document --

13 PANEL MEMBER ARAUJO: Well --

14 CHAIRPERSON FROINES: -- at this stage. But I
15 think we have covered most of the ground here.

16 PANEL MEMBER ARAUJO: Well, we should recommend
17 something that really strengthens the document I think --

18 CHAIRPERSON FROINES: That's fine.

19 PANEL MEMBER ARAUJO: -- against. And what I
20 will say a very obvious claim or attempt to pursue this at
21 another level by the people who are responding to this.

22 And I believe that Ellen's comment is -- it goes
23 right to the point. I mean if you look at just the table,
24 Table 15 that you presented - it's slide 15 - all the P
25 values by the same automated methods from three hours, six

1 hours, and also four time points are significant by your
2 analysis, right?

3 OEHHA STAFF TOXICOLOGIST DODGE: Right.

4 PANEL MEMBER ARAUJO: So that strengthens your
5 point.

6 However, all these time points are not
7 significant by their analysis in the same data.

8 So it all comes to the same data -- exactly the
9 same data analyzed by two different statistical methods.
10 I don't know much and I am not really anybody to really
11 make a thorough opinion on a statistical methodology. But
12 it all comes to the point of what is the technique or the
13 methodology, or depending on what you use. So it is
14 significant or not. And depending on your own -- what you
15 use, you end up regulated or not. I think that that is --
16 they may still have a strong point.

17 Then if we go to the left column, which is your
18 manual count method. So they didn't publish that data.
19 And you only have like one time point where it is
20 significant as per your analysis.

21 So may I ask you, if you did their ANOVA
22 Kruskal-Wallis methodology on this manual count method --
23 well, the first question is, did you do it? And if you
24 did it, then what did you find?

25 PANEL MEMBER BLANC: Well, that's the one where

1 they didn't report the -- they didn't do it.

2 PANEL MEMBER ARAUJO: I know. I'm asking them.

3 PANEL MEMBER BLANC: No, no. But they didn't do
4 the test. There is no data. Ziegler did not do the
5 measurement except on 4 --

6 PANEL MEMBER ARAUJO: No, no, no, no. But they
7 did it on one hour. So they presented the data analyzed
8 by their Page trends test. My question is, this ANOVA
9 test that you are having here is the same ANOVA
10 Kruskal-Wallis and the data that they used to analyze
11 their other data?

12 OEHHA STAFF TOXICOLOGIST DODGE: I guess the
13 question is, "Did you use their Kruskal-Wallis method in
14 trying to determine the one hour in the dim light
15 approach?"

16 But we used the repeated measures ANOVA. They
17 used the Kruskal-Wallis ANOVA.

18 PANEL MEMBER ARAUJO: Because that would be a
19 strong point, if you used even their same methodology as
20 well as your methodology and you come back with the same
21 answer, even for the known very unsophisticated people
22 from the statistics standpoint, as I am, for instance, you
23 know, that tells you that, wow, that sounds like pretty
24 strong, regardless in how you analyze it, you get to the
25 same conclusion.

1 But it depends on how you analyze unpublished
2 data you come up to one conclusion or another, that still
3 leads to some concern, I would have to say.

4 CHAIRPERSON FROINES: Let me explain something, I
5 think for the new people on the Panel.

6 At this stage, what we're doing is we always
7 recognize that we're going to give them more work to do.
8 That's a given, that when we vote on approving their
9 document, we're not approving what is going to be the
10 final version. We're approving the final -- we're
11 approving a version which will be augmented with what's
12 been discussed today. And so when we vote, we are
13 recognizing that Melanie and her staff are going to take
14 everything that you and everybody on this Panel has said
15 and they're going to incorporate that to ultimately come
16 to the final document. And so that's the procedure that
17 we normally would follow.

18 Now, if there is a fundamental scientific
19 conflict among the Panel, then that's something that has
20 to be resolved before we would take it to -- for a vote.
21 But as I hear it, what I'm hearing are suggestions of what
22 they can do to improve the document for its final form.

23 PANEL MEMBER ARAUJO: Well, I'm actually having
24 more fundamental concerns than just a suggestion. As I
25 said -- I understand we're in the position of advising,

1 and they are who ended up -- who will end up taking the
2 decision ultimately. But I read the multiple times like
3 when they question based on the fact that what they will
4 be taking a decision based on unpublished data analyzed
5 the way they want.

6 PANEL MEMBER BLANC: Well, I want to respond to
7 that, because I really strongly disagree with you.

8 First of all, as I said before, there is strong
9 precedent for using raw data when available.

10 Secondly, there are very clear issues with the
11 selective analyses that were published in the publication,
12 which the author himself and the publication provides I
13 think overwhelmingly convincing rationale that the method
14 they chose to present was not the preferred method. And
15 the non-parametric ranked multiple repeated measures
16 analysis technique, in fact, in the critique that was
17 received by the industry stakeholders, they suggested that
18 that was the appropriate method to use.

19 It's a quite common finding that if you apply a
20 less specific or a less well suited method to data
21 analysis, you may inappropriately accept the null
22 hypothesis. So using a non-ordinal approach to data that
23 are ordinal or using a parametric approach when a
24 non-parametric approach would be more conservative could
25 lead one to conclude that the pattern observed is likely

1 to be due to chance -- or more likely to be due to chance.

2 So on those three grounds, I don't have any
3 trouble with the application of the Page test for trend to
4 these data. I think that if I were reviewing the original
5 paper and they said in their own discussion that this is
6 an analytic method which is unproven and not as reliable,
7 I would have raised questions of their paper. So I don't
8 have any problem with that and I don't have any problem
9 with using a reanalysis of data. In fact, that's what the
10 Panel told OEHHA to do at our last meeting.

11 And also I think that even the data as published,
12 certainly on face evidence, indicated that something was
13 going on at the 5 milligram dose which represented a
14 response that wasn't seen at lower doses. But I
15 absolutely agree with you that it's important to put the
16 best and clearest and most transparent presentation on
17 that as possible. And I think that there are ways in
18 which the text could make that point a little bit clearer.
19 And perhaps even this last point about the published --
20 even the article as published is not inconsistent with --
21 or as highly suggestive as the 5 milligram being a
22 low-effect level and not a no-effect level.

23 And that was basically the thrust of the critique
24 of this Panel at our last meeting about this, which was,
25 you know, "Come on, guys. Don't throw out these data; you

1 know, try to use them effectively." And the best way to
2 do that would be to get the raw data since the analysis as
3 published is not very transparent. And then they did all
4 that.

5 So I don't have a fundamental question about the
6 acute reference value using the human exposure data.
7 Rather than saying we cannot come to an acute reference
8 level, I think this is a chemical with enough exposure out
9 there that it's appropriate. I think it's actually in the
10 big scheme of things a luxury to have controlled human
11 experimental data. And 20 subjects is not trivial. EPA
12 has regulated criteria air pollutants on not much more
13 than that in terms of human subjects depending on what the
14 outcome is.

15 So on all those reasons, I don't agree that it
16 brings in too fundamental question, the fulcrum, which is
17 5 milligrams per cubic meter low effect level or not.
18 That's really what all this boils down to, which
19 is -- which is -- maybe that's fortuitous because, yes, if
20 we were going into more subtleties of a multiple dose
21 response with a benchmark calculation, it would be I think
22 a shakier ground.

23 But that's my view as the lead reviewer. And I
24 think that -- I can't speak for detail for Stan Glantz,
25 except to say that he was very satisfied. And he's the

1 one who suggested, yes, just present the Page test for
2 trend data. We don't need to see side by side, for
3 example, the multiple test -- multiple comparisons, I
4 know, with these data.

5 PANEL MEMBER EISEN: Can I make one suggestion?

6 CHAIRPERSON FROINES: Of course.

7 PANEL MEMBER EISEN: Maybe it would be help --
8 when you originally suggested that they published their
9 raw data, I was imagining this whole study design with the
10 five levels and the five weeks and the five days a week --
11 four days a week. Anyway, it seemed like too much to me.
12 But I do think I guess it would be helpful to see if you
13 can get permission to publish just the means and the
14 standard deviations in each of the -- basically every
15 place that you report a P value and for each of those
16 cells, the row that that's based on, you know, the means
17 that that comes from, whether it's the old method of blink
18 counting or the new method to present the data that went
19 into the P -- if you're going to present the table of the
20 P value --

21 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
22 CHIEF MARTY: You know, actually --

23 PANEL MEMBER EISEN: Is it in there?

24 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
25 CHIEF MARTY: Yeah, we actually have it in the draft

1 document.

2 CHAIRPERSON FROINES: But you don't have
3 permission.

4 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
5 CHIEF MARTY: So it's a -- well, no. This is -- no, this
6 is the summarized data from our analysis of their raw
7 data.

8 PANEL MEMBER EISEN: Can you see what page it's
9 on?

10 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
11 CHIEF MARTY: Page 11, Table 1. And then Table 2 also.

12 PANEL MEMBER EISEN: So is that both methods of
13 counting or just the one method of counting?

14 OEHHA STAFF TOXICOLOGIST DODGE: Just the one
15 method.

16 PANEL MEMBER EISEN: So I guess I think it should
17 have the other method.

18 PANEL MEMBER ARAUJO: Do you have the -- sorry.

19 PANEL MEMBER EISEN: Go ahead.

20 PANEL MEMBER HAMMOND: Actually I would say I
21 think that to have the discussion that there is a
22 conventional method of counting that has been used and
23 that's the one you're going to use seems to me sufficient.
24 And to say that there's a new method used but it's not
25 been vetted, which is what the author says --

1 PANEL MEMBER EISEN: But it's been published.
2 They published it.

3 PANEL MEMBER HAMMOND: But the author himself
4 apparently said in the Discussion section - I didn't read
5 that part - that that hadn't -- was still not validated.

6 CHAIRPERSON FROINES: Right. That's stated many
7 times in their rebuttal.

8 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
9 CHIEF MARTY: I think we could put in we showed our
10 responses to the industry comments that went to the Panel;
11 we showed them up here with the tables; and we showed the
12 analysis of the newer method, which was statistically
13 significant, not at 1 hour but at 3 and 6. We can put
14 that in here. I don't see any problem with that. It's
15 summaries, not the raw data. I mean Ziegler knew we were
16 going to take his data and analyze it and make tables like
17 this. So we could --

18 PANEL MEMBER BLANC: Yeah, I don't see that -- if
19 that's the question, I agree with you, there's no
20 limitation to you putting means and standard deviations.
21 I just think, you know, a listing of each subject and each
22 data point is not -- he's not likely to agree to it. And
23 I don't --

24 CHAIRPERSON FROINES: I agree with Ellen.

25 PANEL MEMBER EISEN: Somebody else to say. It

1 says in his abstracts he reports on the new method of
2 counting. That's what he describes in the abstract. He
3 doesn't say anything about the old method. And as a
4 result, he only has the results for up to 1 hour. He
5 doesn't have them for the other time periods for the old
6 method. I'm not saying we shouldn't report the old
7 method. I think you should. But I'm just saying it
8 seems --

9 CHAIRPERSON FROINES: But what he says is
10 consistent with what they did.

11 PANEL MEMBER EISEN: Yeah, yeah, right, exactly.
12 But it looks a little, you know, shifty I think to only
13 report the one method when --

14 CHAIRPERSON FROINES: So -- I'm the one who wants
15 to move ahead so that -- Melanie, are you comfortable with
16 what Jesús and Ellen have said and Paul's responded to?

17 OEHHH AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
18 CHIEF MARTY: Yes.

19 CHAIRPERSON FROINES: Are the people I just named
20 happy with what I said?

21 PANEL MEMBER EISEN: Yes.

22 CHAIRPERSON FROINES: Ellen is and Paul is and
23 Jesús is -- are you okay?

24 PANEL MEMBER ARAUJO: I'm okay.

25 CHAIRPERSON FROINES: Well, you people have heard

1 your argument so that -- and Paul's responded to it and
2 there's been subsequent discussion.

3 So the point is that my job is to move this
4 forward so we can vote on it.

5 PANEL MEMBER ARAUJO: So just following up on
6 Ellen's suggestion. So we don't need to have
7 authorization or we wouldn't need authorization of the
8 author to republish the mean standard deviations. How
9 about the statistical analysis and the P values according
10 to the Page trend test? Because --

11 PANEL MEMBER BLANC: I think what Melanie just
12 said is if they did that, they would present that as well.

13 Just to -- you know, just to say that it was Stan
14 who said, you know, cut out all -- you don't need to
15 present all the rest of this in the revisions. But I
16 don't think they're opposed to reproviding that.

17 PANEL MEMBER ARAUJO: Okay. Or an appendix then.

18 Like the table that you have in slide 15, is that
19 table being part of the -- or would be part of the -- I
20 cannot find it in the --

21 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
22 CHIEF MARTY: This is the one I was thinking of.

23 PANEL MEMBER ARAUJO: That one, exactly.

24 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

25 CHIEF MARTY: We could put this one into the document.

1 CHAIRPERSON FROINES: We should -- this table is
2 absolutely necessary for this document.

3 PANEL MEMBER ARAUJO: And maybe with a legend
4 saying or mentioning who is doing this statistical
5 analysis. I mean the statistical analysis done by --

6 PANEL MEMBER BLANC: Well, that's all their
7 statistical analysis.

8 OEHHA STAFF TOXICOLOGIST DODGE: Yeah, this is
9 all our analysis here, using the Page's trend test.

10 CHAIRPERSON FROINES: That's what I'm saying it's
11 absolutely essential, because it is their analysis.

12 PANEL MEMBER ARAUJO: Yeah, that would be
13 certainly helpful.

14 CHAIRPERSON FROINES: I just wanted to make
15 one -- since I haven't said anything. I've been not
16 saying anything because everybody else has been saying
17 things.

18 I do want to say that I thought that the revised
19 section -- the comments that you put in tended to be
20 repetitive at times. And so that as you go forward, I
21 think that there's some stylistic issues that one might
22 pay attention to.

23 PANEL MEMBER BLANC: It's funny you say that,
24 because, just as an aside, when I have to respond to a
25 particularly complex or irksome critique of a journal

1 article submission, sometimes I'll take their approach and
2 just figure I'm just going to wear the editor down. And
3 I'll say, "As was noted in comment 2 by reviewer 1, here
4 in comment 3 by reviewer 2," you know, blah, blah, blah,
5 blah. But that's irrelevant.

6 CHAIRPERSON FROINES: So can -- so I think we're
7 ready to move ahead, unless somebody disagrees strongly,
8 in which case I need a --

9 PANEL MEMBER BLANC: I guess it falls to me
10 because Stan is not here. So I would move that we accept
11 the revised document on the presumption that the further
12 revisions that have been discussed and agreed to here are
13 reflected in the final final version. And I think the --
14 they're not part of the motion, but I think the transcript
15 will adequately reflect that.

16 CHAIRPERSON FROINES: Is there a second?

17 PANEL MEMBER BUCKPITT: I'll second that.

18 CHAIRPERSON FROINES: Is there a discussion?

19 All those in favor?

20 (Hands raised.)

21 PANEL MEMBER NAZAROFF: Aye here.

22 CHAIRPERSON FROINES: That's unanimous.

23 So we have finished caprolactam.

24 Of course the Panel can take a look at the
25 changes and always come back and reconsider. But in 350

1 chemicals we've never done that, but it's certainly an
2 option.

3 So having said, Melanie, do we have some nickel
4 people?

5 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

6 CHIEF MARTY: Yes, we do. Andy and Joe Brown.

7 CHAIRPERSON FROINES: Nickel and dime people.

8 We had two leads on this chemical, Bill Nazaroff
9 and Ellen Eisen. And so at this point, we'll start out
10 with Melanie and her staff making a presentation.

11 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

12 CHIEF MARTY: Okay. Joe Brown's going to make the
13 presentation.

14 CHAIRPERSON FROINES: Just for everybody's
15 knowledge base, we do have written comments from Bill
16 Nazaroff that came in actually yesterday. So that people
17 probably haven't had a chance to read them. But he can go
18 through them as he comments on the process.

19 Go ahead, Melanie.

20 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

21 CHIEF MARTY: Okay. Dr. Joe Brown will give the
22 presentation. Joe's going to go over the revisions made
23 to the draft based on Panel comments from the previous
24 meeting.

25 Joe.

1 (Thereupon an overhead presentation was
2 Presented as follows.)

3 OEHHA STAFF TOXICOLOGIST BROWN: Okay. These
4 were the revisions made to the draft after the last
5 meeting. It was I think sent out toward the end of July
6 for the August meeting. So some of the slides here are
7 those that were prepared for that August meeting.

8 --o0o--

9 OEHHA STAFF TOXICOLOGIST BROWN: The first two
10 slides are basically a summary of the revisions that we
11 made. There actually were two substantive revisions;
12 namely, New Acute REL. You remember the old REL based on
13 the Cirila study. It was thought to be inadequate. So
14 actually replaced that with the study of Graham, et al.,
15 and in this case supported by a newly analyzed study by
16 Adkins. Both of these are immunotoxicity studies.

17 CHAIRPERSON FROINES: Can I make one comment?

18 OEHHA STAFF TOXICOLOGIST BROWN: Sure.

19 CHAIRPERSON FROINES: Melanie's not in the room
20 but you can convey it. George is here.

21 I would actually like to see -- before you have
22 this slide, I would have preferred a slide that listed the
23 issues that the Panel raised and then go into what you
24 did. So that you remind everybody who's forgotten -- and
25 I suspect there's more than me. And so think about the

1 future -- and I'm not talking about it right now. I'm
2 just talking about as a future procedure --

3 OEHHA STAFF TOXICOLOGIST BROWN: Okay.

4 CHAIRPERSON FROINES: -- process issue.

5 OEHHA STAFF TOXICOLOGIST BROWN: Well, I didn't
6 do that here. So I'm sorry about that.

7 CHAIRPERSON FROINES: That's okay. So make sure
8 that we understand where this came from. That's all I'm
9 saying.

10 OEHHA STAFF TOXICOLOGIST BROWN: Okay. Well,
11 just to recap, there was a lot of discussion about the
12 Cirila study, which is a human study. And it was based on
13 a decrease in lung function, FEV-1. And there was a lot
14 of criticism of it. And it was a study we'd used before.
15 But I think the criticism was just. Then we went back and
16 we just removed it.

17 And so the backup study to that was the Graham,
18 et al., study, which we now moved up in line to be the
19 primary study upon which we're basing the acute REL. And
20 there'll be another couple of slides about this down the
21 line.

22 But I'd just like to summarize the various
23 revisions that we did make. We also replaced the 8-hour
24 REL. This was based on suggestions from NiPERA that the
25 NTP study on lung lesions would probably be a better basis

1 for that. And we sort of agreed, and so we actually
2 adopted their suggestion for that.

3 We put in a new section on physical and chemical
4 properties affecting toxicity. This was suggest by Dr.
5 Glantz I think. This sort of ties together issues like
6 particle size, density, and solubility and how they might
7 affect the toxicity in the nickel particles.

8 We had a new table on solubility and solubility
9 products of nickel compounds. We expanded a section on
10 the uses and sources, including a new table on
11 environmental airborne nickel.

12 We put in a new section on various air pollution
13 studies of nickel as a species of particulate matter.

14 We revised the sections on epigenetics, both
15 animal and human data and also on nickel-induced
16 cardiovascular effects, both human and animal data.

17 And we added a new section on lung injury. This
18 was sort of speculative. But I think we took some of the
19 suggestions from Dr. Froines. I think he had some
20 interesting ideas there and we tried to tie those together
21 with what we could find in the literature supporting some
22 of these ideas.

23 There's a new toxicity summary table. It doesn't
24 include all of the toxicity studies, but it concludes the
25 major studies. It's in the appendix. It's in sort of

1 chronological order as you go through the text. The sort
2 of key toxicity studies are in this appendix table, which
3 is quite large.

4 --o0o--

5 OEHHA STAFF TOXICOLOGIST BROWN: We revised and
6 extended the rationale for deposition based on -- the
7 deposition-based DAF, or the dosimetric adjustment factor,
8 for the chronic RELs. And generally we tried to add where
9 possible particle size information on studies throughout
10 the text. There was a criticism that we weren't putting
11 this in. And Dr. Nazaroff felt this was very important
12 and we should have it on all studies. So we went back,
13 looked at all the studies, tried to dig out that
14 information and stick it in parenthetically in the text.

15 We added new articles to the table on genetox.
16 And an additional rationale for inclusion in noncancer
17 assessments of this information basically on ties between
18 DNA damage and cardiovascular effects and other noncancer
19 effects. And there's an article by Cooke, et al., which
20 brings some of these things together.

21 Overall we added 48 new references to the
22 document supporting these various revisions. And we tried
23 to reorganize the document by moving and combining text
24 for improved intelligibility. For example, in the
25 revision the immunotoxicity's all now in a separate

1 section. I'm not sure how successful this was, but at
2 least we gave it a shot.

3 --o0o--

4 OEHHA STAFF TOXICOLOGIST BROWN: Okay. The acute
5 toxicity. Now, here we are now using the Graham study.
6 You've seen this study before. It was originally used as
7 the key study for the 8-hour. Now we're using it for the
8 acute. Six-week old mice exposed to various levels of
9 nickel chloride, less than three micrometers diameter, for
10 two hours.

11 The exposed animals gave a significant decrease
12 in antibody-forming cells after antigen challenge. I
13 think they used sheep red blood cells as the challenge.
14 And there were some levels quoted in here. We actually
15 did a benchmark analysis on this using the benchmark of a
16 loss of a hundred plaques per million cells. And we got a
17 benchmark of approximately 165 micrograms of nickel per
18 cubic meter.

19 --o0o--

20 OEHHA STAFF TOXICOLOGIST BROWN: And I think
21 there's a slide here which shows that benchmark analysis
22 showing the benchmark and the lower bound on it for these
23 data.

24 --o0o--

25 OEHHA STAFF TOXICOLOGIST BROWN: The derivation

1 of the acute REL is a little bit different than for the 8
2 hour. We are essentially using an overall cumulative
3 uncertainty factor, because this is now an animal study
4 and not a human study, of approximately a -- of about a
5 thousand for this study. So we have a benchmark of 233
6 micrograms of nickel per meter - this is a 1-hour
7 adjustment - divided by a thousand is .2 grounded
8 micrograms of nickel per cubic meter.

9 --o0o--

10 OEHHA STAFF TOXICOLOGIST BROWN: The supporting
11 study for this is new. It was mentioned in the document
12 before, but it wasn't analyzed as such. So this is now a
13 study by Adkins, et al., ('79) for increased mortality in
14 nickel-treated mice after experimental infection with
15 Streptococcus pyogenes. So we're looking at mortality as
16 an endpoint here.

17 Exposure is 289 to 499 micrograms of nickel per
18 cubic meter. It's a nickel chloride aerosol. Less than
19 1.4 micrometers diameter for two hours.

20 We analyzed this with benchmark dose, using a
21 1-hour adjustment, at a value of 733. Using the same
22 overall uncertainty factor of a thousand gives us a final
23 value of .7 micrograms of nickel per cubic meter for this.

24 And the dose response is shown on the next slide.

25 --o0o--

1 OEHHA STAFF TOXICOLOGIST BROWN: This is the
2 benchmark dose response where in this case the benchmark
3 is a doubling of mortality. You can see it there, the
4 background is about 3 1/2 and the benchmark is at 7. And
5 the lower bound on that is shown there in the lower
6 vertical line.

7 --o0o--

8 OEHHA STAFF TOXICOLOGIST BROWN: Okay. The
9 8-hour value now is also new. This is now based on NTP
10 (1994), which -- well, we're supporting it with the Graham
11 study, which we've previously used as the main study.

12 The study population here is male and female
13 rats.

14 Exposure: Inhalation of nickel sulfate aerosol
15 six hours a day, five days a week. In this case, 16 days
16 to 2 years. We actually used a 13-week data for this
17 derivation.

18 The effect is lung lesions, primarily alveolar
19 proteinosis.

20 And here we used a NOAEL of 30 micrograms of
21 nickel per cubic meter; and using a human equivalent, a
22 continuous value of 5.7.

23 An overall uncertainty factor of 100 gives a
24 value of .06 micrograms of nickel per cubic meter.

25 Now, the previous value was .08 for this. So

1 it's not much of a change, although the study is a more
2 robust study.

3 --o0o--

4 OEHHA STAFF TOXICOLOGIST BROWN: Okay.

5 CHAIRPERSON FROINES: What's the rationale for
6 the square root of 10 for the interspecies?

7 OEHHA STAFF TOXICOLOGIST BROWN: Yes, I think
8 that's -- the reason we used this instead of 10 was the
9 MPPD model we used for the deposition modeling. We
10 figured that that took part of this kinetic component
11 away. So I think that's the rationale for that. Although
12 it's not really stated there, is it?

13 CHAIRPERSON FROINES: Say that again. I'm sorry.
14 I missed it.

15 OEHHA STAFF TOXICOLOGIST BROWN: We use a
16 computer deposition modeling, the MPPD2 model for
17 deposition of the particles in the respiratory tract. And
18 we reasoned that that in part took care of a kinetic
19 component of the difference between humans and animals.

20 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
21 CHIEF SALMON: It's a standard provision in the guidelines
22 that where we have what we consider an appropriate kinetic
23 model, we can replace the kinetic subfactor for -- one of
24 these uncertainty factors with that model. So it's
25 several of the derivations you will see that in the

1 absence of the model we have an interspecies extrapolation
2 uncertainty factor of 10. But if we have what we consider
3 an adequate model, then we take away the kinetic
4 component. So the interspecies uncertainty factor is the
5 remaining square root of 10, which represents the
6 toxicodynamic uncertainty.

7 OEHHA STAFF TOXICOLOGIST BROWN: That's how we
8 view it. But, you know, it's open to argument certainly.

9 CHAIRPERSON FROINES: I must admit I'm a skeptic
10 that it wipes out the toxicokinetic side of the coin.

11 But let's let it go. I don't want to hold you
12 up.

13 OEHHA STAFF TOXICOLOGIST BROWN: I think --
14 well --

15 CHAIRPERSON FROINES: It's a stretch, I think.

16 OEHHA STAFF TOXICOLOGIST BROWN: It's somewhat of
17 a stretch, but -- I think we discuss in the document, you
18 know, our thinking on this a little bit more about, you
19 know, where this toxicity takes place, you know.

20 Anyway, we think deposition is a key component in
21 what's going on here, as opposed to uptake and capitalism
22 and so on. The effect is in the lung. I think deposition
23 is a key process going on here. So the modeling of the
24 deposition is a key kinetic component. That's the way I
25 view it anyway.

1 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

2 CHIEF MARTY: So in other words if this were a systemic
3 toxicant and we only had dosimetric adjustment, we would
4 not completely abolish the kinetic side of the equation.
5 But in this case, it's essentially site of contact
6 toxicity that we're talking about. So there's not
7 metabolism distribution excretion to worry about.

8 CHAIRPERSON FROINES: Well, it also -- the issue
9 of size distribution is not irrelevant to this in the
10 discussion.

11 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

12 CHIEF SALMON: We consider the size information in the
13 analysis, you know, in the analysis of the NTP study. The
14 problem of course is that, you know, prospectively we
15 don't have size distribution information at the other end.
16 But, you know, what we don't know about, we can't deal
17 with.

18 OEHHA STAFF TOXICOLOGIST BROWN: No.

19 --o0o--

20 OEHHA STAFF TOXICOLOGIST BROWN: The chronic
21 values have not been changed. So these slides you've seen
22 before. You know, they're basically the same studies,
23 same values.

24 For nickel compounds except nickel oxide we're
25 also using basically the same study, discontinuous

1 inhalation of nickel sulfate.

2 CHAIRPERSON FROINES: Can I say one more thing
3 about this?

4 OEHHA STAFF TOXICOLOGIST BROWN: Sure.

5 CHAIRPERSON FROINES: And then I'll stop --

6 OEHHA STAFF TOXICOLOGIST BROWN: Do you want me
7 to go back to the other slide?

8 CHAIRPERSON FROINES: The problem is we have used
9 from the time life on the planet occurred the interspecies
10 factor of 10. There is by no means any guaranty that 10
11 is an adequate number. It could be much larger, in fact.
12 And so when we start to take its square root of 10, when
13 you actually could have a factor that -- there is
14 literature suggesting that that's not sufficient -- 10 is
15 not sufficient. So that that's where I get a little bit
16 nervous, because there's a contradiction between the
17 literature that says the uncertainty factors are
18 underestimated versus the literature that says, like your
19 guidelines, where you can take a square root of 10 for the
20 reasons that you said.

21 But go ahead. That's just --

22 OEHHA STAFF TOXICOLOGIST BROWN: Well, you know,
23 in fact we've taken the alternative view on intraspecies,
24 as you can see from the slide.

25 But, yeah, you've got a good point. You know, we

1 frequently are criticized for overestimating these
2 uncertainty factors. And I think where we have a model
3 and an effect that we believe is the key effect, I think
4 we're justified in reducing that. On the Other hand, I
5 see your point.

6 But, anyway, this is still a draft. We'll
7 consider it again.

8 --o0o--

9 OEHHA STAFF TOXICOLOGIST BROWN: As I said, the
10 chronic values have not changed. So the value for nickel
11 except nickel oxide is the same as before. We used a
12 benchmark dose here. We have an average experimental
13 concentration of 5.4 micrograms of nickel per meter and an
14 equivalent human concentration of 1.4 based on the
15 deposition model.

16 --o0o--

17 OEHHA STAFF TOXICOLOGIST BROWN: And with a
18 cumulative uncertainty factor of a hundred, again using
19 the square root of 10, but also 30 for intraspecies, we
20 come up with the value of .014 micrograms per cubic meter.
21 We think this is a pretty conservative number, health
22 protective sense.

23 --o0o--

24 OEHHA STAFF TOXICOLOGIST BROWN: For the chronic
25 REL for nickel oxide, we're using here a mouse study from

1 the same NTP group of studies.

2 Critical effect here was also changed in the
3 lung. Have a benchmark dose of 117 micrograms per cubic
4 meter based on 5 percent alveolar proteinosis; average
5 experimental concentration of 20.9; human equivalent
6 concentration of 2. This is based on Hsieh, not on the
7 MPPD model; so there's actually a published study on this.
8 And the same overall cumulative uncertainty factor, 2
9 divided by 100, .02 micrograms of nickel per cubic meter
10 for this value.

11 --o0o--

12 OEHHA STAFF TOXICOLOGIST BROWN: Now, the oral
13 value is the same as before, based on NiPERA study. And
14 the endpoint is perinatal mortality in a two-generation
15 study. LOAEL of 2.23 milligrams of nickel per kilogram
16 dye. NOAEL of 1.12. Human equivalent, 1.1.

17 --o0o--

18 OEHHA STAFF TOXICOLOGIST BROWN: And basically
19 the overall values:

20 .2 for the acute. Originally this was 1.1 based
21 on a human model. Now it's .2 based on the animal data.

22 The 8-hour REL, .06. Originally it was .08. Not
23 much of a change, but the study's changed.

24 The chronic values are the same - .014, .02. And
25 11 micrograms per kilogram dye, based on the same -- it

1 had the same basis as our drinking water PHG, so it's
2 basically the same study.

3 And that's about it that I was going to present.

4 You know, I didn't go through the comments -- I
5 mean I tried to address as many of the comments I could in
6 the time I had before the document was due to be sent out
7 again in July.

8 But certainly we have a pile of additional
9 comments from Dr. Nazaroff that we can address in our
10 continuing revisions.

11 CHAIRPERSON FROINES: Well, because I think --
12 I'm not sure, but I think the Panel hadn't seen this
13 before today. So I think that --

14 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
15 CHIEF SALMON: Nobody's seen it before today. It was
16 written yesterday.

17 CHAIRPERSON FROINES: I understand that. That's
18 my whole point.

19 PANEL MEMBER BLANC: Not blaming us.

20 CHAIRPERSON FROINES: I'm just saying that --
21 Paul understands.

22 So what I would propose is that Bill comment at
23 this point so that we have the benefit of his insights.

24 PANEL MEMBER NAZAROFF: Okay.

25 CHAIRPERSON FROINES: Well, wait, wait, wait.

1 Andy is raising his fingers.

2 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

3 CHIEF SALMON: Just one very small point. I wanted to
4 point out, before it may cause any confusion, on page 107
5 of the documents, the derivation of acute reference
6 exposure, we actually spotted a typographic error for the
7 BMR uncertainty factor. We accidentally wrote square root
8 of 3 when we meant square root of 10. That was a case
9 that we -- yeah, we use either 3 or the square root of 10.
10 Unfortunately we kind of -- we kind of got our wires
11 crossed there. So it should be --

12 CHAIRPERSON FROINES: Yeah, I didn't catch that.

13 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

14 CHIEF SALMON: Well, neither did we until about a week
15 ago.

16 Burt I just intrude that point in case it causes
17 somebody to wonder what's going on.

18 CHAIRPERSON FROINES: So, Bill --

19 PANEL MEMBER NAZAROFF: Yeah, I'm here, John.

20 CHAIRPERSON FROINES: -- go ahead.

21 PANEL MEMBER NAZAROFF: So first I guess mea
22 culpa in my capacity as lead -- one of the leads with
23 Ellen that I did get an updated version of this document
24 several months ago and it just sat on my to-do list, near
25 the top but not reaching the top until this meeting was

1 imminent. And so the -- it would have been more orderly,
2 I'm sure, had I sent the comments that I've provided in
3 months ago. But I did the best I could and that's what I
4 could do.

5 So what I'd like to do with your indulgence is
6 just take the time to read through orally the first couple
7 of pages of my written remarks, not the detailed ones,
8 because I think they do speak to broader issues about the
9 current state of this draft REL, and I think it's
10 appropriate for the collective to hear them. And it will
11 take ten minutes at most.

12 So what I write is:

13 "I appreciate the effort that went into
14 making revisions in response to the comments on
15 the earlier SRP review draft. The document is
16 clearly improved.

17 "Among the five concerns that I had raised in
18 the earlier round of comments, strong improvement
19 is evident for four: The environmental chemistry
20 of nickel, the importance of particle size for
21 respiratory tract deposition, environmental
22 exposure to nickel, and environmental
23 epidemiology. The residual concerns on these
24 points are relatively minor."

25 Although I'll inject an editorial here that I

1 didn't write, which is that the sort of
2 laboratory-based studies that involve
3 environmental chemistry of nickel I think need
4 some more clarification. And I'll get to that
5 point in this summary.

6 I go on to write:

7 "On the other hand, I do not see significant
8 improvement with regard to one of the concerns
9 originally expressed, which was 'flow, balance,
10 and connectivity in the narrative.' And here's
11 the original critique on that point.

12 "An Old saw applies: "Tell 'em what you're
13 going to tell them, tell 'em, and then tell 'em
14 what you told them.' This report could be
15 improved in the 'tell 'em what you were going to
16 tell them' aspect. The sections describing
17 health effects often dove into paragraph-length
18 recapitulations of individual studies with little
19 connecting tissue between the paragraphs and no
20 preamble to guide the reader through the
21 material.

22 "I was struck by the contrast: 70 pages of
23 dense pros presenting extraordinary detail about
24 individual studies of acute and chronic effects
25 of nickel followed by only a few paragraphs each

1 supporting the REL derivations. The connection
2 of the latter (the part that matters most in this
3 document) to the former could be improved.
4 Especially the decisions to base RELs on the
5 particular studies selected could be much better
6 substantiated.

7 "In reading the revised draft (which I did
8 prior to reviewing my earlier comments), the
9 original concern resurfaced without significant
10 attenuation. Between page 30 and page 106,
11 especially starting at page 36, the dominant
12 style is paragraph-length summaries of numerous
13 separate studies with little to connect them
14 either to a larger conceptual framework or, more
15 importantly, to the ultimate goal of
16 substantiating the derivations of REL values. On
17 the positive side, the paragraphs are mostly
18 clearly written. They're also logically
19 clustered into sections and subsections. There
20 are also very brief narrative summaries that
21 attempt to synthesize the material in each
22 section. But overall, I found that these
23 sections did not efficiently advance my
24 understanding of the foundation for setting RELs.

25 "The most important sections of the document,

1 those that present the derivations of the acute
2 and chronic RELs, are compressed into 14 pages.
3 Here the text is terse, even telegraphic in
4 places. Out of the hundreds of studies reviewed
5 in pages 30 through 106, fewer than 10 are
6 selected as points of departure or in support of
7 specific REL development. The rationales for
8 these selections are not transparent. Why were
9 these particular studies chosen and why were all
10 the others not chosen?

11 "To summarize this point, while I'm impressed
12 with the scope of the literature that has been
13 reviewed, I find that the evidence presented is
14 not effectively marshaled for the purpose of
15 scientifically substantiating the derivation of
16 REL values. The development of the RELs is the
17 primary purpose for this document. The present
18 draft overemphasizes the goal of summarizing all
19 of the scientific literature on nickel health
20 effects at the expense of clearly explaining and
21 substantiating the bases on which the RELs were
22 developed.

23 "A second important technical concern emerged
24 from this review. This concern relates to the
25 environmental chemistry of nickel. When

1 exposures are established in laboratory systems
2 to insoluble nickel through means other than
3 particle inhalation, it seems that the size of
4 the particles would remain a key factor to
5 report. Yet that information is largely, maybe
6 entirely, missing from the present draft. I'm
7 referring here to cases in which laboratory
8 animals were exposed to insoluble nickel species
9 through ingestion or installation, or in which
10 cell cultures were exposed to insoluble nickel
11 species.

12 "In a few cases, the exposure levels are
13 expressed in molar units, seemingly inappropriate
14 for a hydrosol. In other cases, the dosing is
15 expressed in mass or a mass density such as
16 micrograms per square centimeter, or mass
17 concentration units, but the particle size is not
18 reported.

19 "As an example, given the conceptual
20 representation for genotoxicity shown in Figure
21 2, it seems that particle size could be key for
22 insoluble species effects. The number of cells
23 that could be influenced by nickel would be
24 related to the number concentration of particles
25 in suspension, which would depend on particle

1 size for any given mass concentration.
2 Engulfment of particles into cells seems like a
3 process that should be particle-size dependent.
4 Dissolution of nickel from the particle surface
5 could be kinetically limited for low-solubility
6 species. And if so, then the surface area of the
7 particle could influence the biological effect.
8 There are numerous instances in the text where
9 this specific concern would apply. Examples" --
10 and they occur on page 69, page 75, page 89, page
11 90. I haven't listed nearly all of them. But
12 they concern species such as Ni_3S_2 , which I guess
13 is nickel subsulfate -- subsulfide, Ni_2O_3 and
14 NiO .

15 "A related concern is the use of the
16 oxidative state, Ni(II) , to designate the species
17 of interest in any particular study. Since
18 Ni(II) can refer to nickel in several different
19 forms, including the ion, Ni^{2+} and the solid
20 nickel oxide, for which distinct RELs are
21 proposed, the document should be chemically
22 specific wherever possible."

23 So I go on and offer some specific comments. But
24 these specific comments are really relatively minor by
25 comparison to these two major overall points.

1 And let me just say, in summary, that I think the
2 document could with reasonable effort be improved to a
3 point where it would allay my concerns on the second
4 point, the technical point that I've raised. It would
5 just require going back and making revisions that are
6 parallel to the kinds of revisions that took place between
7 the earlier draft and the one that we're currently looking
8 at.

9 I'm less clear about what to recommend with
10 respect to my first concern. And I raise it in part
11 because I think it's a generic -- potentially a generic
12 issue for how OEHHA thinks about preparing the REL
13 documents. I really -- you know, if this was a student's
14 dissertation chapter, I'd send them back to do a rewrite,
15 because it doesn't do an effective job in meeting the
16 primary goal, which is to explain to the reader the
17 scientific justification for why the particular studies
18 that were used to support the development to the RELs were
19 used -- were selected, why other ones were not, what
20 analysis that led to the REL derivations was. I know
21 there's text to those points, but that text is very
22 concise to the point of not really being clear. And
23 there's a lot that's in this document that really probably
24 ought to be in an appendices rather than in the core
25 document itself, because it's not there to support REL

1 development directly.

2 So that's the end of my comments. Thanks, John.

3 CHAIRPERSON FROINES: Well, I'm tempted to ask
4 Melanie to comment. But before that, maybe we should
5 continue with the Panel.

6 Bill has obviously raised substantial issues.

7 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
8 CHIEF MARTY: Would you mind if I just said a few things?

9 CHAIRPERSON FROINES: Well, let me just
10 make -- let me say, given what Bill has said, are there
11 members of the Panel who want to comment at this stage?

12 PANEL MEMBER BLANC: I was just going to ask, if
13 Ellen was prepared with her comments, then it would help
14 me put -- if Ellen would make her comments, it would help
15 me put them both into context together and then it might
16 clarify questions.

17 CHAIRPERSON FROINES: I thought Ellen didn't have
18 comments.

19 PANEL MEMBER EISEN: Well, I do now.

20 CHAIRPERSON FROINES: You do now. Okay.

21 PANEL MEMBER EISEN: I mean I didn't actually
22 look at it in advance. There wasn't much epidemiology in
23 the document last time, and I -- so what I had to prepare
24 last time was rather thin. But I see you have added more
25 epidemiology this time and reviewed some of the

1 environmental literature. And looking over it now, I
2 mean, I just think it does help to underscore Bill's
3 point, because although you now have a
4 paragraph-by-paragraph discussion of some of these large
5 epidemiologic studies, there's no attempt really to put
6 them into the context of the RELs that you've proposed.
7 And it may be that you can't do it; maybe that the way
8 that they've analyzed their data and described their
9 results make it impossible for you to do that. But then
10 that ought to be said.

11 And I don't know that that's really -- you know,
12 they used interquartile ranges a lot as the unit of
13 analysis and they look at change in outcome per
14 interquartile range in nickel, for example, exposure. So
15 it may be not so straightforward.

16 But I do find that it doesn't really help. It
17 doesn't help build the case for what you're proposing.
18 And it just seems a little too, I guess, glibly summarized
19 to say that you can't use it because there are multiple
20 air pollutants.

21 That's all.

22 CHAIRPERSON FROINES: I think nobody else wants
23 to speak at this point.

24 So, Melanie, we're going to need you to --

25 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

1 CHIEF MARTY: Yeah, I think -- well, a couple points.

2 If you'll recall, those that have been on the
3 Panel a long time, the REL documents used to be pretty
4 short. And we kept getting asked to add more and more and
5 more into them because it was hard for you guys to review
6 it if you didn't happen to already know literature on that
7 chemical.

8 So, you know -- for a lot of chemicals there
9 isn't very much literature. Caprolactam was one example.
10 For nickel there is a ton of literature. So we started
11 out not putting everything in there and we just keep
12 adding. So I think that's part of -- you know, sort of a
13 mechanical problem of then not summarizing enough to make
14 the reader understand why that material is even there to
15 begin with.

16 So, you know, that's one issue which we can deal
17 with by having more expansive summaries.

18 One of the other issues is, you know, we're -- we
19 look at the studies; we look at whether the dose response
20 information is usable; we look for the most sensitive
21 gender site in the body, so toxicological endpoint
22 species, et cetera, when we're doing the study.

23 If we have human data, we tend to -- and this is
24 all in the Technical Support Document, which, you know,
25 you guys probably haven't read in a long time. But it,

1 you know --

2 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

3 CHIEF SALMON: Which is appendix.

4 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

5 CHIEF MARTY: Right.

6 So I think, you know, part of the issue is
7 reviewing something so huge. You have the TSD, which is a
8 different document. And, you know, unless you keep going
9 back and forth and reviewing that, it's hard to know why
10 we're doing what we're doing. So a couple of things.

11 So that's -- for the overall flow, balance, and
12 connectivity I think, you know, that's fixable.

13 For the particle size distribution used in the
14 study --

15 CHAIRPERSON FROINES: Can I ask you a question
16 about what you just said.

17 Are you suggesting that one of the things that
18 you can do is to provide a context based on -- not just on
19 your -- see, what bothers me is is you say, "Well, we have
20 these guidelines." Well, that's all well and good, and
21 we -- and this Panel approved them. But it seems to me
22 that rather than saying they're in our guidelines, what
23 would be useful would be to give the contextual framework
24 for the RELs so that what then follows makes sense to the
25 reader who's looking at publications.

1 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

2 CHIEF MARTY: Yeah, that's right. And, you know, one
3 approach you could take for a document that ends up
4 getting so big, like nickel - and there's a few other
5 coming down the pike which are going to be big - is to use
6 the appendix approach and put all the technical summaries
7 in the appendix and have something more integrated up
8 front than the REL derivation, then all the rest of this
9 stuff in the appendix. So that's another approach that
10 would help with the readability or, as Bill puts it, the
11 connectivity.

12 CHAIRPERSON FROINES: Ellen wants to --

13 PANEL MEMBER EISEN: Can I make another
14 suggestion? I'm just now looking through the epidemiology
15 section again as you were talking.

16 So you have in here studies of wheeze, with
17 studies on birth weight, with mortality studies, and
18 they're all sort of mixed together. So I mean it makes
19 sense what you just said, that you'd want to pay most
20 attention to studies of the most sensitive endpoints. So
21 then you just dismiss all the mortality. We don't need to
22 look at mortality studies, right? It's like irrelevant
23 really. So then, you know, say that and get rid of them
24 instead of mixing them all up.

25 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

1 CHIEF MARTY: Yeah. Here's an example.

2 And, you know, if you remember from this last
3 time --

4 CHAIRPERSON FROINES: Can I make --

5 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

6 CHIEF MARTY: I'm sorry.

7 CHAIRPERSON FROINES: Let me make a comment.

8 I just want to -- as far as I'm concerned, you
9 can have a huge number of studies. At one point I wrote a
10 standard for lead for OSHA. And what we did was we had
11 hundreds of studies to deal with. And we had to select
12 the ones that could form the basis for the standard. So
13 that might not be a lot of studies. But what has to
14 happen is that the ones you select as the basis for your
15 decision making, it needs to be explained so everybody can
16 understand that.

17 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

18 CHIEF MARTY: Right. So that's, I think -- you know, we
19 have a little bit of that in here, but apparently not
20 enough, because people couldn't follow it.

21 And in terms of nickel as a component of ambient
22 PM, you know, I think we discussed last time whether or
23 not to even put that in here, because, you know, it's
24 clear that the speciation -- the epidemiology on
25 particulate species is not all that well developed and

1 there's still a huge issue with confounding by all of the
2 other substances present in PM.

3 CHAIRPERSON FROINES: Well, if we --

4 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

5 CHIEF MARTY: So we did not want to use those studies as
6 the basis of reference exposure levels.

7 CHAIRPERSON FROINES: Well, we have an issue,
8 right? If you have nickel as a component of ambient PM,
9 then you have to deal with reactive oxygen species and
10 oxidative stress. If you have a particle of nickel, you
11 may have a different mechanistic issue that you have to
12 address. So that it's actually complicated, it seems to
13 me.

14 And Paul knows more about nickel as nickel than I
15 do. But I know something about nickel as part of ambient
16 air.

17 Do you have a comment on that?

18 PANEL MEMBER BLANC: Well, I think what all of
19 you are saying is true and consistent with other attempts
20 to deal with some of these things that, for example, have
21 a literature that can't be applied to the question at
22 hand. And, thus, everybody's really discussing what's the
23 most efficient way to handle a summary of the literature.
24 And I think you've been in this situation before where you
25 have to give enough of a nod to the issue so that the

1 reviewer and the reader knows that it's not like you don't
2 know there's nickel in ambient particulate pollution. But
3 beyond that, there's -- you know, we've chosen -- here are
4 five studies that document that, but we haven't chosen to
5 utilize that literature because obviously there's no study
6 of ambient pollution which can tease out nickel's effect
7 alone.

8 And sometimes you face that with these documents
9 where you have to say at least enough so that the absence
10 of the information doesn't raise the question, "Are these
11 people even aware that there's" -- you know, "that they
12 consider that there's nickel in ambient dust?" And I
13 think -- you know, so some of that could be shortened, not
14 need to be moved to an appendix nor not moved to appendix.
15 It can be just, you know, greatly shortened, enough so
16 that it's like: There's a lengthy literature on ambient
17 pollution -- ambient particulate pollution and it's been
18 shown in other studies. You know, give the citations. We
19 won't be analyzing it beyond that because it can't be
20 teased out from co-pollutant effects and cannot be used to
21 derive any standard in terms of end-organ toxicity. It's
22 interesting to note that there have been associations with
23 particulate pollution and blah, blah, blah, you know, or
24 not.

25 So I suppose in your cardiovascular disease

1 section, which you added since one of the big issues with
2 ambient, you know, particulate pollution is its
3 relationship to adverse cardiovascular outcomes, that
4 would be an example of something you could say. You know,
5 "Please see our experimental section which talks about
6 cardiovascular effects." And I think that that's a
7 logical way.

8 And I think another thing that would help even if
9 you didn't move summaries to an appendix is -- even the
10 order in which these summaries of studies are presented is
11 not necessarily straightforward. It doesn't seem to be
12 temporal. You're not going in chronological order
13 necessarily, I don't think. Although I'm not sure. Maybe
14 you are. But, for example, with the -- and this may be
15 addressing Bill's point as an example. In the chronic REL
16 derivation, in the animal studies, you know, halfway into
17 the animal studies you get to the animal study that you
18 use for the REL. Is there a reason why that's midway in
19 the animal studies? Or it's just how this thing grew and
20 then you decided that that's --

21 OEHHA STAFF TOXICOLOGIST BROWN: Chronological.

22 PANEL MEMBER BLANC: I don't think there's a
23 logic to doing it chronologically. Well, I don't think
24 it's necessary. In fact, what it means is that since
25 people tend to be more skeptical the older the study is in

1 terms of the methods, there's no reason not to start with
2 a study which you think is the key study and then do the
3 studies that you think are --

4 OEHHA STAFF TOXICOLOGIST BROWN: There's a
5 certain historical mechanics to this. We generally treat
6 the animal, then human, and acute, subchronic --

7 PANEL MEMBER BLANC: No, that's not the part
8 I'm -- I'm talking within section. I understand that
9 part. But let's say you're within chronic and --

10 OEHHA STAFF TOXICOLOGIST BROWN: Within the
11 section, okay.

12 PANEL MEMBER BLANC: Within the section and then
13 you're within animal studies of chronic. And then
14 somewhere in the middle of that is this key study that you
15 end up using now.

16 OEHHA STAFF TOXICOLOGIST BROWN: Generally we try
17 to arrange them chronologically within the section. But
18 that's not absolutely the case. So sometimes something
19 gets inserted somewhere where it probably doesn't belong.

20 PANEL MEMBER BLANC: Right. So I'd suggest
21 reordering it. I'm assuming this is an end note. So if
22 you change where things are, you don't make your life
23 completely miserable.

24 OEHHA STAFF TOXICOLOGIST BROWN: Well, as I said
25 in the presentation, there's been some reorganization of

1 text, which tends to jumble things up a little bit too,
2 even though we try to compact --

3 PANEL MEMBER BLANC: Right, right. So, anyway,
4 that would help and would address this issue of synthesis.
5 Because then the reader sees all the rest of the studies
6 relevant to chronic toxicity in light of the study which
7 is ultimately the study you use.

8 OEHHA STAFF TOXICOLOGIST BROWN: We try to put
9 enough studies in there that indicate that we have done
10 a --

11 PANEL MEMBER BLANC: Oh, no, I agree with that,
12 absolutely.

13 OEHHA STAFF TOXICOLOGIST BROWN: -- review. So
14 connecting these things together sometimes is a little bit
15 difficult.

16 PANEL MEMBER BLANC: No. No, no, I see that, and
17 that part doesn't bother me and I understand the
18 motivation for that. As I said, you need to show enough
19 that somebody knows that --

20 OEHHA STAFF TOXICOLOGIST BROWN: Did the due
21 diligence --

22 PANEL MEMBER BLANC: Right, right. And some of
23 that you can do with tabular forms.

24 And so I think that that's one partial solution
25 that doesn't address all of Bill's critique, but it would

1 help.

2 CHAIRPERSON FROINES: Well, I was talking to
3 somebody in the rear of the room, so I missed the
4 beginning of what you said. But Bill should comment,
5 because one thing that I felt in looking through -- in
6 going through the document was just the list of one study
7 after another. And it's sort of like it's a series of
8 almost abstracts, and therefore it doesn't provide the
9 underlying basis -- it provides a lot of information, but
10 it doesn't really provide the underlying basis for how you
11 reach REL conclusions.

12 OEHHA STAFF TOXICOLOGIST BROWN: Well, in some of
13 the studies you'll see an analysis attached. For example,
14 you see a study described and actually analyzed the data,
15 did a dose response analysis on it. Maybe that's a red
16 flag if that study's going to be used later on or could be
17 used in a derivation. If there's no analysis, it's
18 basically a supporting study of the type that we're using
19 to show you should look to the main issue --

20 CHAIRPERSON FROINES: Well, I think that -- let
21 me just -- I really think we don't need all the studies.
22 I think they -- a lot of them could be in an appendix. I
23 don't know what Paul said, so I'm maybe repeating him.

24 OEHHA STAFF TOXICOLOGIST BROWN: Well, it was
25 about the organization.

1 CHAIRPERSON FROINES: But I think that what you
2 need is the studies that form the basis for the decision
3 and the underlying reasons for that basis.

4 PANEL MEMBER BLANC: Well, you know, there's a
5 hierarchy. So there's the study that you use for the REL.
6 And then there are studies which are looking at similar
7 endpoints and for which you've done some analyses and you
8 get a REL which is within half an order of magnitude of
9 what you came to with the study that you picked. And then
10 there are studies which can't really be used for any kind
11 of REL because of their nature, for one reason or the
12 other, but in fact it's the same endpoint, and so it's
13 supportive. And then there are a bunch of studies of
14 other endpoints that are clearly not as powerful studies
15 or not as sensitive an endpoints, and those studies
16 certainly can either be just presented in a table with the
17 kind of tables that you do for some of the stuff already
18 appears that way or it can be an appendix or whatever.

19 I think the stuff that shouldn't be in an
20 appendix would be the studies with similar endpoints or
21 supportive exposure effects.

22 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

23 CHIEF MARTY: Yeah, I'm feeling guilty --

24 CHAIRPERSON FROINES: Wait, wait. No, wait. Let
25 me just stop you, because I interrupted Ellen, who was

1 trying to get in.

2 PANEL MEMBER EISEN: No, no. We're all -- I feel
3 like we're all sort of saying the same thing.

4 CHAIRPERSON FROINES: So Ellen's next and then
5 Kathy's next and then you're next.

6 PANEL MEMBER EISEN: Okay. I'll just say my next
7 same thing -- my way of saying the same thing is that I
8 think what you just said about how if you exceed the
9 response table, that's a red flag. I think we shouldn't
10 have to rely on red flags. I think you should be
11 explicit, like this is a study we're going to pay a lot of
12 attention to, you know, and here's a dose response table,
13 right? And let us know what -- and then let us know what
14 are the supporting studies. That's all.

15 CHAIRPERSON FROINES: Kathy.

16 PANEL MEMBER HAMMOND: I would say, first of all,
17 I actually have shared this concern for a long time, that
18 it makes it very difficult to read these documents. It's
19 one of those things I've dealt with. But I actually think
20 that this meeting isn't the place where we should be
21 discussing this. I really feel this is not the
22 appropriate way for us to design and editorialize how to
23 do this. But if -- and I know that there are a lot of
24 things that go into how things are done. They have to do
25 with history, they have to do with what you've been asked

1 to do by prior SRPs, they have to do with what's probably
2 legally required. A lot of things that are kind of --
3 that we could have a whole meeting on this and we could --
4 if we want to do that, fine. But I would really like
5 to -- I suggest that, yes, it might be great to have this
6 improved, but could we not take this meeting time to do
7 that and work on science.

8 CHAIRPERSON FROINES: Well, let me just make a
9 proposal in that respect.

10 Obviously these folks have to go back and work on
11 nickel. So they need to hear from us what we think about
12 that issue. So that's what you're saying let's focus on.
13 I agree with that.

14 I would argue that in the next meeting that we
15 have an agenda item on the process of how we should
16 recommend that they approach these issues, recognizing
17 that we all -- that we suffer from our own negligence
18 insofar as we approve their guidelines, but their
19 guidelines don't go to the questions really that are being
20 raised here today, I think. I think we're -- the process
21 issues that were being raised today are a little bit
22 different than the guideline issues.

23 So I would propose that we defer this, as Kathy
24 said, till the next meeting, but the next meeting we
25 actually have a discussion about it.

1 PANEL MEMBER BLANC: You don't mean defer nickel;
2 you mean defer the issues that have come out of --

3 CHAIRPERSON FROINES: It's the process issues.

4 PANEL MEMBER BLANC: Yeah. And I don't -- by the
5 way, I agree with Kathy in that. That's why I think my
6 comments were, you know, things that could be done with a
7 word processor in ten minutes and I wouldn't -- not ten
8 minutes -- probably ten hours, but I wouldn't -- it
9 wouldn't keep me from, you know, science-based content
10 approval that -- and actually I think that Bill's
11 critique, as I interpret it largely, was not also an
12 indication that ultimate conclusions weren't appropriate
13 scientifically. It wouldn't be like we'd say you have to
14 bring this revised document back to us again the way we
15 said last time, that it would also be a sort of contingent
16 approval, but please clean this up in the following, you
17 know, generic ways.

18 But --

19 CHAIRPERSON FROINES: Isn't that a question for
20 Bill?

21 PANEL MEMBER BLANC: That's my interpretation.
22 I'd like to hear whether Bill thinks I've misinterpreted
23 what he said.

24 PANEL MEMBER NAZAROFF: No, you haven't. But let
25 me just make a couple of observations from what I've been

1 listening to.

2 I think if we go back to your previous comments,
3 Paul, you expressed really quite well one way to organize
4 this document at the subsection level that would allay my
5 concerns.

6 And just to hit what I remember as the high
7 points, instead of having a chronological sort of
8 paragraph-by-paragraph, every-study-treated-roughly
9 equally approach, as is currently done, the subsection
10 could begin with a paragraph or two -- actually what I
11 think it needs to begin with is sort of a preamble
12 paragraph that says, "This is sort of the nature of why
13 nickel is a concern for this particular health endpoint
14 and," you know, "here are some specific examples of things
15 that we're going to be telling you about now that have
16 been studied and some things that maybe haven't been
17 studied."

18 And then it would have a paragraph or two that
19 would highlight what the main study that supports the REL
20 development teaches us, it would have follow-up with the
21 studies that provide good support, and then very brief
22 synopses -- they don't have to give us, you know,
23 blow-by-blow account of every exposure condition and every
24 other detail, just a sentence or so or maybe even just a
25 listing of the other references that were considered or

1 the other types of studies that were considered, and some
2 comment about why those were not selected, why those were
3 judged not suitable.

4 While I agree with the point Kathy made that, you
5 know, we're not using meeting time to do editorial
6 decision making, is inefficient and ill-advised, I think
7 this issue goes to a more fundamental concern though,
8 which is the scientific justification for REL setting.
9 And if that point is substantially obscured, which I find
10 it to be in the current -- in this current document, then
11 it's harder for me to be in a position where I'm ready to
12 support the answers.

13 And so I think I am ready to support, but I ended
14 up having to spend an awful lot of time reading this
15 document a second time for things that seem to me not
16 important that I understand in order to know why OEHHA is
17 proposing REL values at the particular levels that they
18 are.

19 And I think that attention to everybody's
20 efficiency, yours, OEHHA's staff, in writing these
21 documents; ours, as an SRP, in reading, reviewing
22 carefully and ultimately approving them; and then the
23 people who are ultimately going to do something with them
24 on the other end, you know, that behooves us to be
25 attentive to making the communication as efficient as we

1 can make it, even at the expense of spending some
2 committee time to talk about it.

3 CHAIRPERSON FROINES: Well, you are raising
4 substantial issues. But in your most recent comments,
5 your issues were reflective of what Paul commented on.
6 And so, what I need as a chair is to hear whether or not
7 you feel that you can go forward with an approval with
8 changes or whether you think that there's still major
9 issues that need to be addressed.

10 PANEL MEMBER NAZAROFF: And I would be
11 comfortable going forward with a recommendation that, you
12 know, OEHHA do what they can as best they can to address
13 the comments that I've expressed; and with a little bit
14 stronger comment that in the future, you know, we really
15 need to have them take - at least this is my opinion -
16 need to have them take quite seriously this issue of using
17 the document as a place, not first and foremost to review
18 every detail of -- or summarize every key detail of every
19 scientific study relating to the toxic concerns of a
20 particular chemical, but to focus the text on what
21 reviewers and the general public need to know to
22 understand the basis on which they're proposing to set
23 RELs, the rationale for that, where the scientific support
24 comes from, where the uncertainties lie, and so fourth.

25 CHAIRPERSON FROINES: Thank you.

1 Melanie.

2 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

3 CHIEF MARTY: Yeah, I think that's eminently doable. And
4 as you guys were talking, I'm thinking of the other group
5 that I also supervise, which produces the recommendations
6 for the ambient air quality standards. And there again is
7 another huge literature for the -- the particulate matter
8 for California's ambient air quality standards, that my
9 group sends ARB the recommendations. And we take a
10 massive literature and condense it. So, you know, that's
11 another good model I think of a way to do this.

12 So I would hope that we could come back to you in
13 a few months with a document that's formatted more like
14 those, so that you don't have to wait through all --
15 excruciating detail of all these studies.

16 CHAIRPERSON FROINES: Well, I actually think
17 there's an interesting issue here. And, that is, on the
18 one hand you are developing regulations or recommended
19 regulations, whereas here we're basically approving risk
20 assessments. And those are different. Those have
21 different criteria that we end up using in making
22 decisions. And so on the assumption that a REL isn't just
23 a number that somebody has to think about, but that the
24 REL has implications for control of a substance, that it's
25 probably very worthwhile to think about the linking of the

1 two programs you deal with in terms of the underlying
2 context.

3 PANEL MEMBER BLANC: You know, another -- just
4 moving around sections, word processing thing that might
5 help too. You know, bearing in mind that this thing grew,
6 are you sure that subsections of subsections are always
7 where it makes the logical sense for them to be? For
8 example, you know, these cardiovascular studies of, you
9 know, I expose a rat for four hours and look at its, you
10 know, R to R interval, you know, it's not clear to me why
11 some of those are in chronic and then some were in acute.
12 I can see why it might have happened. But can you just go
13 back and take a look, especially if this is in a program
14 that's not going to entirely mess up all of your
15 referencing numbers.

16 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
17 CHIEF MARTY: Yeah, sure.

18 OEHHA STAFF TOXICOLOGIST BROWN: Yeah, sure.

19 PANEL MEMBER BLANC: And then a science-based
20 question. And I might have lost track of this somewhere
21 along the way. These RELs are supposed to be noncancer
22 endpoints, right? So that's why there's no cancer here
23 even though nickel was a carcinogen?

24 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
25 CHIEF MARTY: Right.

1 PANEL MEMBER BLANC: And is that said explicitly
2 at the very beginning, that there is a separate --

3 OEHHA STAFF TOXICOLOGIST BROWN: There's a
4 statement there upfront.

5 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
6 CHIEF MARTY: I believe --

7 PANEL MEMBER BLANC: All right. You might want
8 to say it more than once or something. It just -- because
9 I think people are going to expect -- you know, are going
10 to remember that.

11 Then I know you very kindly put in the physical
12 stuff about nickel carbonyl, even though it's not included
13 here. Again, for the same rationale, as I say, well, what
14 about nickel carbonyl, isn't that nickel? And then you
15 said it won't -- you said that the nickel carbonyl data
16 won't enter into this REL, and also nickel oxide --

17 OEHHA STAFF TOXICOLOGIST BROWN: I could put it
18 in that table somewhere.

19 PANEL MEMBER BLANC: -- has its own REL or
20 something. Is that what you said?

21 But you mean that nickel carbonyl also has an
22 REL -- or will have an REL or something -- or should have
23 an REL.

24 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
25 CHIEF MARTY: It doesn't --

1 OEHHA STAFF TOXICOLOGIST BROWN: An REL --

2 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

3 CHIEF MARTY: We haven't dealt with it.

4 PANEL MEMBER BLANC: So it's not clear -- so the
5 reason that nickel carbonyl will not be discussed further
6 in this document is that it operates in an entirely
7 different kind of way and it's in separate literature,
8 right?

9 OEHHA STAFF TOXICOLOGIST BROWN: Exactly.

10 PANEL MEMBER BLANC: But how am I supposed to
11 know that? It just says, "With the exception of nickel
12 carbonyl." Again, it has to do with who reads this as --
13 are they going to understand what you understand why it
14 is? It's like five words, but just -- you know. Because
15 I wasn't sure when I read that. It's a "period, In
16 addition, nickel oxide has a separate chronic REL." Does
17 that mean that nickel oxide in addition to nickel carbonyl
18 has a separate -- no, that's not what you mean.

19 OEHHA STAFF TOXICOLOGIST BROWN: No, that's not
20 what --

21 PANEL MEMBER BLANC: All right. So just --

22 OEHHA STAFF TOXICOLOGIST BROWN: Maybe we should
23 clarify that.

24 PANEL MEMBER BLANC: -- clarify that.

25 Now, A more fundamental science question. Your

1 chronic REL's based on alveolar proteinosiis?

2 OEHHA STAFF TOXICOLOGIST BROWN: Yes.

3 PANEL MEMBER BLANC: In the rats, right?

4 OEHHA STAFF TOXICOLOGIST BROWN: Rat and mice.

5 PANEL MEMBER BLANC: Or rodents or whatever --

6 OEHHA STAFF TOXICOLOGIST BROWN: Rodents.

7 PANEL MEMBER BLANC: So what is your view of
8 alveolar proteinosiis as a medical or toxic endpoint?

9 OEHHA STAFF TOXICOLOGIST BROWN: Well, I think
10 it's a valid endpoint.

11 PANEL MEMBER BLANC: Well, yeah. I mean that's
12 not --

13 OEHHA STAFF TOXICOLOGIST BROWN: It's serious.

14 PANEL MEMBER BLANC: What do you think it is as a
15 genre of condition?

16 OEHHA STAFF TOXICOLOGIST BROWN: It's related to
17 inflammation, isn't it?

18 PANEL MEMBER BLANC: Well, I mean people don't
19 really know. It's not exactly pulmonary fibrosis, right?

20 OEHHA STAFF TOXICOLOGIST BROWN: Well, I mean
21 it's a histological reading, you know, from a
22 pathologist --

23 PANEL MEMBER BLANC: Well, it's also a fatal
24 disease in humans. So I think it's worth saying that
25 it's --

1 OEHHA STAFF TOXICOLOGIST BROWN: It's a serious
2 effect.

3 PANEL MEMBER BLANC: Well, it's a fatal disease,
4 I'm just saying. It's a life-threatening, often, mostly
5 fatal.

6 But the second thing is that in humans it's
7 identical to acute silicosis.

8 OEHHA STAFF TOXICOLOGIST BROWN: Really.

9 PANEL MEMBER BLANC: But that's a minor piece of
10 what the disease is. Most of the disease in humans is
11 immunological. It's related to a very odd antibody. It
12 stays -- it's kind of -- it's considered idiopathic nobody
13 knows why people get it.

14 So the three points I would make is, one -- and I
15 think you alluded to the fact that you're viewing this as
16 an immunological as well as a --

17 OEHHA STAFF TOXICOLOGIST BROWN: Yes, I mean
18 they're related in a way. I mean --

19 PANEL MEMBER BLANC: Right. So I think that's
20 good and important. But then when you get to your section
21 on immunological endpoints, you never say, "We've already
22 dealt with alveolar proteinosis, which we consider an
23 immunological endpoint." Then you talk about all this
24 other immunological stuff.

25 Two, I think you have to say that there is a

1 condition -- it is a condition that occurs in humans, is
2 life threatening, and in a subset of humans is related to
3 a occupational environmental exposure, right?

4 OEHHA STAFF TOXICOLOGIST BROWN: Okay. Yeah,
5 that's good. We'll do that.

6 PANEL MEMBER BLANC: And do you feel comfortable
7 though with the -- again, this is a benchmark derivation,
8 right? So you feel comfortable with a .05 traditional
9 thing?

10 OEHHA STAFF TOXICOLOGIST BROWN: That's what
11 we've been -- that's what we've been using for animal
12 data. For epidemiological data we'll generally go lower,
13 maybe a percent.

14 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
15 CHIEF SALMON: The convention is in choosing the benchmark
16 response rate to choose a response rate which is within
17 the range of observable data. And then if we feel that
18 the -- for instance, if we have some concerns about, you
19 know, variation in sensitivity or severity at the
20 endpoint, then we would -- we would use uncertainty
21 factors to reflect those extra considerations. But the
22 key thing --

23 PANEL MEMBER BLANC: Rather than the benchmark?

24 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
25 CHIEF SALMON: The key thing is that the benchmark needs

1 to be chosen on the --

2 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

3 CHIEF MARTY: Use the BMDL of 1, Andy, from this data.

4 OEHHA STAFF TOXICOLOGIST BROWN: So we did use 1
5 percent --

6 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

7 CHIEF MARTY: Oh, I'm sorry. That's the supporting.

8 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

9 CHIEF SALMON: That's the supporting study.

10 Yeah, for the NTP study, the .05 was the one
11 which was --

12 PANEL MEMBER BLANC: And why did you use a .1
13 on --

14 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

15 CHIEF SALMON: Well, the supporting study is an
16 epidemiological study.

17 OEHHA STAFF TOXICOLOGIST BROWN: Larger number of
18 animals.

19 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

20 CHIEF SALMON: And in that context, the .01 is within what
21 we consider the observable range of the data. So we in
22 that case chose the one so that the driving consideration
23 for choice of benchmark response rate is the range of
24 observable data. But then we --

25 PANEL MEMBER BLANC: And then you used the

1 traditional tenfold animal to human and tenfold --

2 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

3 CHIEF SALMON: We actually use -- for the nickel chronic
4 REL based on the NTP study, we used an interspecies of --
5 where we used a dosimetric adjustment factor based on the
6 deposition model. We used an interspecies of the square
7 root of 10 to account for interspecies toxicokinetic
8 extrapolation -- sorry -- toxicodynamic - excuse me, I'm
9 crossing my words here - the toxicodynamic uncertainty,
10 which is not addressed by the deposition model. And then
11 we in fact used intraspecies uncertainty factor of 30,
12 which reflected -- which has a large -- has tenfold
13 uncertainty factor for toxicodynamic because of our
14 concern for severity of endpoint, potential diversity
15 including adverse impacts on children and so on.

16 And then we used a square root of 10
17 toxicokinetic for intraspecies. Because although there is
18 uncertainty there, again we have some information and it's
19 basically a point of contact effect.

20 So the opportunity for diversity is not so great
21 that we would necessarily go for a larger uncertainty --

22 PANEL MEMBER BLANC: So the total comes out to
23 be --

24 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

25 CHIEF MARTY: -- 100.

1 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

2 CHIEF SALMON: -- a 100.

3 PANEL MEMBER BLANC: So it's as if you did 10 and
4 10 in the end?

5 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

6 CHIEF SALMON: Yeah. It becomes -- it's 10 and 10, but
7 in --

8 PANEL MEMBER BLANC: For different reasons?

9 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

10 CHIEF SALMON: -- it's actually for -- in different places
11 it's root 10 and 30.

12 PANEL MEMBER BLANC: So, Bill?

13 PANEL MEMBER NAZAROFF: Yeah, I'm here. I have
14 the mute button on.

15 PANEL MEMBER BLANC: Was all of that okay with
16 you?

17 PANEL MEMBER NAZAROFF: Actually I wasn't
18 following super closely the uncertainty factors because
19 that's not an area that I understand very well. But the
20 rest of it was fine.

21 CHAIRPERSON FROINES: I just had one comment.

22 I read your mechanism section, the new section.
23 And I have some trouble with it. I don't -- I don't think
24 it's quite right. And so -- but I'll put it in writing
25 for you.

1 OEHHA STAFF TOXICOLOGIST BROWN: Make some
2 suggestions --

3 CHAIRPERSON FROINES: Yes.

4 OEHHA STAFF TOXICOLOGIST BROWN: Make some
5 suggestions for us and we'll try to improve it.

6 CHAIRPERSON FROINES: I'll put it in writing. So
7 I'll give you a layout of what --

8 OEHHA STAFF TOXICOLOGIST BROWN: We got your memo
9 originally and we tried to write something based on your
10 suggestions.

11 CHAIRPERSON FROINES: Well, I didn't know if
12 you'd gotten stuff about signaling trans-pathways and
13 transcription factors and inflammation as a result.

14 OEHHA STAFF TOXICOLOGIST BROWN: There were a
15 couple of articles we found. But if you have some other
16 articles that --

17 CHAIRPERSON FROINES: Well, we have a whole bunch
18 of articles.

19 OEHHA STAFF TOXICOLOGIST BROWN: I think we could
20 flesh that out and it would be very useful. Thanks.

21 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
22 CHIEF MARTY: We could always make the document longer.

23 PANEL MEMBER BUCKPITT: Right.

24 OEHHA STAFF TOXICOLOGIST BROWN: Yes, it would
25 make it longer.

1 CHAIRPERSON FROINES: Not really.

2 PANEL MEMBER BLANC: So is the genotoxicity stuff
3 that's in here, since you're not considering cancer
4 endpoints, that's a residual of something that we decided
5 on in the appendix and you have to cover that, that's by
6 fiat?

7 OEHHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
8 CHIEF SALMON: Yeah, we don't consider the relevance of
9 genotoxicity findings to be just as a predictor of cancer.
10 We are concerned about if it was an indicator of other
11 kinds of damage and precursor of other source of damage as
12 well.

13 PANEL MEMBER BLANC: Like?

14 OEHHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
15 CHIEF SALMON: Well, one --

16 PANEL MEMBER BLANC: Other than reproductive
17 toxicity in --

18 OEHHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
19 CHIEF SALMON: Well, that was one of the first examples I
20 was going to point to.

21 PANEL MEMBER BLANC: Well, other -- they have a
22 section on reproductive toxicity. So they --

23 OEHHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
24 CHIEF SALMON: Developmental and reproductive.

25 PANEL MEMBER BLANC: Yeah. So why isn't it with

1 that?

2 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

3 CHIEF SALMON: Well, because it's a different class of
4 experimental data, I suppose. And it has relevance for
5 other things besides. So we conventionally have listed
6 it --

7 PANEL MEMBER BLANC: Okay. So it's just
8 convention.

9 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

10 CHIEF SALMON: -- as a separate category, because it has
11 implications for several -- potential implications for
12 several --

13 PANEL MEMBER BLANC: So, you know, it may be
14 something that you just use as a canned language every
15 single time you do one of these then. But apropos of this
16 sentence at the beginning which says, "We're not going to
17 be considering. This is the noncancer endpoint document."
18 Then at the very beginning of genotoxicity a sentence --

19 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

20 CHIEF SALMON: It wouldn't hurt us to have some
21 boilerplate to that effect.

22 PANEL MEMBER BLANC: Yeah, you know --

23 CHAIRPERSON FROINES: A sentence that says,
24 "Well" --

25 PANEL MEMBER BLANC: -- "even though we're not

1 considering cancer endpoints, we believe genotoxicity is
2 relevant for other endpoints which are relevant. And even
3 though reproductive toxicity, which has already been
4 discussed separately, is a prime example, there may be
5 others such as premature death," --

6 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

7 CHIEF SALMON: -- immunotoxicity.

8 PANEL MEMBER BLANC: -- or whatever you want to
9 say.

10 CHAIRPERSON FROINES: Well, do you really think
11 that's true. I think --

12 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

13 CHIEF MARTY: Yes.

14 CHAIRPERSON FROINES: -- it operates by a binding
15 with proteins and not with DNA, so that --

16 OEHHA STAFF TOXICOLOGIST BROWN: Gene expression.

17 CHAIRPERSON FROINES: I mean are we --

18 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

19 CHIEF SALMON: I wouldn't want to bet that it wasn't
20 that -- or might not be.

21 CHAIRPERSON FROINES: No, I understand that
22 argument. But --

23 PANEL MEMBER BLANC: I was making a generic -- if
24 that's their generic reason to have genotoxic sections in
25 noncancer endpoint documents, so it would be nice to have

1 a little introduction every time they do it that's pretty
2 much the same. That's all.

3 CHAIRPERSON FROINES: Yeah, but I would argue a
4 little differently. I would argue that what we need in
5 these documents are justification for the science that we
6 use to set the RELs. And if you've got a genotox that you
7 sort of throw in and says it may have some relevance, I'm
8 not convinced that's not a wasted effort.

9 And so that unless I understand the relationship
10 between the decision making that goes on and the
11 genotoxicity, then it seems to me the genotoxicity isn't
12 appropriate, unless you think it has a function that you
13 could describe as a basis for how you make your decisions.

14 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
15 CHIEF SALMON: Can I just briefly --

16 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH
17 CHIEF MARTY: I'm not sure we could describe any of the
18 mechanisms as the reason we choose a study for the REL
19 derivation. I think we have it in there because of the
20 interesting information to understand the toxicity of the
21 chemical in general. But it's not something that's
22 driving our choice of, you know, the NTP study, for
23 example, or, you know, the chronic animal study.

24 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
25 CHIEF SALMON: We do have a little paragraph on page 80,

1 which is our initial attempt at providing some sort of
2 background justification for what we have in this sense.

3 PANEL MEMBER BLANC: Oh, I see, I see, I see,
4 yeah. Yeah, yeah.

5 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

6 CHIEF SALMON: So if you have any recommendations as to
7 how we should beef up or either generalize or
8 particularize that, they would be very welcome.

9 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

10 CHIEF MARTY: I mean nickel is a chemical that produces
11 all different kinds of toxicity. It's a really
12 fascinating chemical. So, you know, it's hard to know
13 where to stop when you're --

14 PANEL MEMBER BLANC: No, I think that's -- that's
15 the kind of thing I was thinking of. That's okay. And I
16 got it now. I'm sorry I missed that.

17 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

18 CHIEF SALMON: And I think -- we certainly take the point
19 that something like that is a generic requirement.

20 PANEL MEMBER BLANC: Yeah, yeah.

21 PANEL MEMBER NAZAROFF: John, let me make a
22 comment here too, just to be sure that my comment overall
23 isn't misunderstood.

24 I don't even need for the document to be shorter.
25 I just need for the information that's presented to be

1 structured in a way so that there is this kind of
2 connectedness that explains why the studies that are being
3 reviewed -- we have to go back to the transcript - it's
4 getting late in the day here for me here in Washington -
5 to what Paul described as kind of the essence of going
6 from a series of abstracts, which is in essence what major
7 sections of the core of the document are now, to something
8 that's a more reflective, synthetic, coherent explanation
9 of what individual studies collectively tell us about and
10 don't tell us about nickel as an environmental toxicant,
11 as a basis for setting the RELs.

12 So I don't mind if, you know, the genotoxicity
13 stuff is in there. I found the mechanistic discussion of
14 the way that cytotoxicity may behave really interesting
15 and informative, even though it didn't connect directly to
16 an REL. That was fine to read that. The part I have
17 trouble with is when I have sort of paragraph after
18 paragraph of, you know, for several pages, of a material
19 that's under one subheading without enough synthesis to
20 kind of help me put the thing into a broader context.

21 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
22 CHIEF SALMON: I think that if we follow the suggestion of
23 how we order the material and preface it with an
24 explanation of why -- what we're looking at and why we
25 chose the key studies as what they in fact are, I hope

1 that will address that consideration.

2 PANEL MEMBER NAZAROFF: I think that will go a
3 long ways. And I think the other kind of key point that I
4 heard in the discussion today was to not treat every study
5 that's in the literature with equal weight.

6 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
7 CHIEF SALMON: So the back-end we can leave it in tabular
8 format.

9 PANEL MEMBER NAZAROFF: Yeah, a very terse
10 summary or concise summary of what the -- without
11 reporting to us all of the exposure detail, for example.
12 If you didn't use the work for the REL, we probably don't
13 need to know every single kind of exposure condition that
14 was investigated in any particular study. So even if the
15 studies were -- I mean they could be summarized in a
16 table, they could be summarized in a sentence each, or
17 they could just be a list of references that are under the
18 general heading of "Additional Work has been done in this
19 Area."

20 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION
21 CHIEF SALMON: Yeah. We can pull that together based --
22 using the table we have as our appendix as the starting
23 point.

24 PANEL MEMBER NAZAROFF: Right.

25 CHAIRPERSON FROINES: I still have significant

1 problems with the genotoxicity and the mechanistic
2 section, and I will communicate with you. Because if
3 you're talking about hydroxyl radicals under Genotoxicity,
4 that should be in your Mechanism section because that's
5 where you talk about oxidative stress. So you've got
6 supporting information. The genotoxicity isn't -- the
7 relevance of it isn't genotoxicity, it's hydroxyl radicals
8 reacting with macro molecules.

9 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

10 CHIEF SALMON: We can certainly include a cross-reference,
11 as it were, to that effect.

12 PANEL MEMBER BLANC: Can I ask something about

13 also - this is a science question - about alveolar
14 hyperplasia, and -- I'm sorry, not alveolar hyperplasia --
15 alveolar macrophage hyperplasia, and what that is
16 generally viewed as being in the pathological literature.
17 I don't remember that as an endpoint that came up recently
18 in -- a toxicologic key endpoint in one of your studies.
19 You know, we do a lot of things where it's epithelial
20 hyperplasia.

21 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

22 CHIEF SALMON: I would assume that hyperplasia -- the
23 macrophage hyperplasia would reflect some kind of
24 inflammatory response. And I would imagine it's a marker
25 of that.

1 PANEL MEMBER BLANC: What do they -- is the NTP
2 study such that they don't actually have a discussion of
3 the implications of the --

4 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

5 CHIEF SALMON: They're not always very good about
6 implications. You know, they tend to name things very
7 systematically and carefully and just leave it at that.
8 But we can --

9 PANEL MEMBER BLANC: The reason I why I bring it
10 up as a science-related point is -- and it comes again
11 back to Bill's overarching comments -- is that it makes
12 you wonder about alveolar proteinosis. Although that
13 supposedly is a dysfunction of the alveolar -- actually I
14 don't if people know. It might be also macrophage driven
15 as opposed to alveolar-lining-cell driven.

16 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

17 CHIEF SALMON: There's a number of things that might be
18 dysfunctional that could contribute to that, both in the
19 macrophages and also of course potentially I guess damage
20 to the capillaries as well.

21 PANEL MEMBER BLANC: It doesn't -- well, I don't
22 know, because it's a disease where there's this
23 accumulation of fluid in the alveolar. And the source of
24 that, it's proteinaceous. That's why it gets its name.

25 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

1 CHIEF SALMON: So It's essentially -- it's interstitial
2 fluid, is it?

3 PANEL MEMBER BLANC: It's worse than that.

4 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

5 CHIEF SALMON: Worse than that.

6 PANEL MEMBER BLANC: So I think it's worth some
7 kind of cursory review of the pathological issue, because
8 if you were convinced that -- it's an odd term too -- if
9 you were convinced that pulmonary macrophage hyperplasia
10 is an early lesion that in some systems is seen before you
11 see alveolar proteinosis or something --

12 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

13 CHIEF SALMON: We could research whether we could -- you
14 know, if we find --

15 PANEL MEMBER BLANC: It was just seeing the word
16 "hyperplasia," you know, makes you think, well, are they
17 talking about some kind of pre-cancerous lesion, because
18 that's how hyperplasia's often looked.

19 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

20 CHIEF SALMON: Yes. In the case of the macrophages, one's
21 immediate thoughts go to some sort of inflammatory context
22 rather than that. But that I think is specific to the
23 macrophages, and there are many other hyperplasias.

24 PANEL MEMBER BLANC: Yeah, and especially because
25 you're using -- it wouldn't matter if you weren't using it

1 as the endpoint in the 8 hour. But since you are and
2 since chronic is this other condition for which alveolar
3 macrophages may play a role, I just don't know if
4 anybody --

5 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

6 CHIEF SALMON: Yeah, we explore whether there's a --

7 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

8 CHIEF MARTY: Connect the dots.

9 OEHHA AIR TOXICOLOGY AND RISK ASSESSMENT SECTION

10 CHIEF SALMON: Connect the dots there. Yes, we should do
11 that.

12 CHAIRPERSON FROINES: Can I -- are you done?

13 PANEL MEMBER BLANC: Yes, I am.

14 CHAIRPERSON FROINES: Thank you.

15 My concern at this point is that Jesús and I have
16 a 5:30 plane to Los Angeles, so time is getting a little
17 tight. Although it's not very far to this airport
18 obviously.

19 So that doesn't -- that's not intended to cut off
20 conversation. But it's just intended to hopefully have
21 people sensitive to it.

22 So let me go around the room and see where we are
23 at this point.

24 So, Alan.

25 PANEL MEMBER BUCKPITT: I didn't have a lot to

1 say on this. It is an extensive document obviously, and I
2 think it could be trimmed down. But I thought the basis
3 for the standards was clear.

4 PANEL MEMBER EISEN: I have nothing else.

5 CHAIRPERSON FROINES: I didn't mean to cut people
6 off. I'm sorry if I did.

7 PANEL MEMBER HAMMOND: I think there's some very
8 interesting scientific questions that have been raised
9 today. I don't know how far -- nickel is an area, as you
10 said, that has huge amounts of data. And I think there's
11 some very interesting challenges. And I like Paul's and
12 John's comments about ways we could look at these in more
13 detail. I don't know if that's what we want to do as a
14 committee. I mean there's a lot to be said for that
15 scientifically. But that would be another meeting and a
16 lot more work.

17 And then if we are going to go forward and do
18 this again, I do think it's a good idea to have some
19 organization structure, as Bill has recommended, where we
20 would at least have a page that summarizes what the key
21 things are or which studies you should really pay
22 attention to as the rest are being reviewed.

23 CHAIRPERSON FROINES: Yeah, I think there's
24 agreement on -- generalized agreement on that.

25 PANEL MEMBER HAMMOND: But I do -- I think

1 there's plenty of good science in here to go forward as it
2 is. But if we want to extend that science, that's a
3 question that -- as Paul and John have suggested, that's a
4 question we have to as a committee decide.

5 CHAIRPERSON FROINES: Yeah. Well, I mean for me
6 as a person who does mechanism, I of course want as much
7 mechanism in the document as possible. But that doesn't
8 necessarily mean that it should -- it should be there.

9 I knew you were hinting at that.

10 So I think we can work this out without too much
11 of a problem.

12 PANEL MEMBER ARAUJO: I don't really don't have
13 much to add.

14 I think there is a lot of very good interesting
15 data. And you have already said that it is more
16 descriptive than a synthesizing document. And Bill
17 properly mentioned it very well in his first sentence of
18 the "Tell 'em what you're going to tell them, tell 'em,
19 and then tell 'em what you told them."

20 (Laughter.)

21 PANEL MEMBER ARAUJO: A couple of suggestions,
22 one for the document and maybe the other for the future.

23 This could be a good opportunity of really, as
24 you're going to be going through the exercise of reviewing
25 the whole data, determining what are the studies that

1 support the REL, what are the studies that made you -- do
2 you need to say less and reorganize and reordering, that
3 maybe there could be some sort of like a -- I don't know
4 how to say -- like a manual or allegory of things that for
5 future documents that it says, you know, what are the
6 things that need to be covered and in what order? And
7 they're in future documents, and so we pay attention that
8 we're covering all those.

9 Like in your case that you're calling product to
10 be checking on each one of the studies where you're
11 spending a lot of time describing it. Does it really need
12 to be described to this extent? Is it really supporting
13 this REL or not, you know? And sort of like have this
14 close in mind whenever we are to approach another topic.

15 CHAIRPERSON FROINES: May I make a suggestion?

16 A lot of the issues we've been talking about
17 today are not necessarily -- or they're not related to the
18 guidelines that we approved. But there are people here
19 who are new to the committee, and it would be useful if
20 they had copies of the guidelines so at least they know
21 what's being discussed when the board guideline comes up.

22 So it would be good if you guys could send --

23 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

24 CHIEF MARTY: We actually sent them out.

25 PANEL MEMBER ARAUJO: It is possible, yeah --

1 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

2 CHIEF MARTY: We can resend them out. We had -- I can't
3 remember if it was for the January meeting or the May
4 meeting. But we did realize, wow, these guys have never
5 seen this.

6 CHAIRPERSON FROINES: Oh, well, then forget it.
7 Forget it. Forget it. That mean we'll put the burden on
8 the people who have received them.

9 PANEL MEMBER ARAUJO: No, we receive a lot of
10 material. We probably didn't have the chance of going
11 through everything.

12 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

13 CHIEF MARTY: We'll send the link again.

14 PANEL MEMBER ARAUJO: Those are the binders and
15 manuals and --

16 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

17 CHIEF MARTY: We'll send the link again. They're on our
18 website.

19 PANEL MEMBER ARAUJO: I'm not talking about a
20 binder, John. I'm just talking about a piece of -- a
21 sheet.

22 CHAIRPERSON FROINES: No, no, I knew what you
23 were talking about. I specifically started out by saying
24 I wasn't referring to what we've been talking about, so
25 there was no confusion. Because we've been talking about

1 process as opposed to guidelines. And so I stand
2 corrected, that -- because I must have gotten them too.

3 (Laughter.)

4 OEHHA AIR TOXICOLOGY AND EPIDEMIOLOGY BRANCH

5 CHIEF MARTY: Well, in response to what Jesús just said, I
6 think this is -- nickel is a -- it's one of the --
7 absolutely one of the longest ones we've done for a --
8 not -- this is not toxic identification -- toxic air
9 contaminant identification document. Those are gigantic.
10 But this is the longest REL document I think we've ever
11 done, and so it's a little bit out of the box that way.

12 But we can use this one as a prototype for the
13 next chemical that comes along that's got a big literature
14 like nickel. So I think, you know, we can use it that
15 way.

16 CHAIRPERSON FROINES: It's interesting, George
17 and I spent an afternoon together talking about how do we
18 improve the SRP's activities vis-a-vis OEHHA. And one of
19 the things that I recommended is dealing with classes of
20 compounds rather than individual compounds. And so that
21 will come up at some point in the future. So that the --
22 and Lynn Terry from ARB wants to talk about how to improve
23 the activities of the SRP. So there are some issues that
24 George and Lynn Terry are going to raise about what goes
25 on. And so that should be pretty interesting for us to

1 communicate to the Panel and potentially discuss.

2 PANEL MEMBER ARAUJO: One additional comment.

3 And I don't know if it is something that needs to be
4 necessarily in the document somehow or if it is more like
5 a forward discussion. But it would be helpful when you
6 present in the elite study or the main recent what you are
7 taking or proposing one decision or another, that you very
8 briefly summarize the context of the other pertinent
9 studies and why you chose that study. Because what
10 I -- what I've seen is that just -- I imagine that is the
11 most representative, maybe the best. For one reason or
12 the other, it will be good to have -- to know the reason.
13 Because in addition to the study that you have chosen, I
14 imagine there are priority in other studies that show
15 different results or maybe negative results on those.

16 CHAIRPERSON FROINES: Thank you.

17 You know, everybody's talking about the future as
18 though this meeting's over.

19 And it's not. We actually have to vote.

20 PANEL MEMBER BLANC: So let me just say that
21 nothing that I said should be interpreted as deferring a
22 decision on the document, in essence, today. I think it's
23 all stuff that I can -- I would feel comfortable
24 tentatively, you know, approving -- contingently approving
25 the document on the presumption that good effort will be

1 made to do those changes, almost all of which will really
2 relate to reordering existing parts with, you know,
3 altogether I think what's been described as probably not
4 more than two or three pages of text --

5 CHAIRPERSON FROINES: Yeah.

6 PANEL MEMBER BLANC: -- new text for you.

7 So I'm happy to move that we approve it.

8 CHAIRPERSON FROINES: Second?

9 PANEL MEMBER HAMMOND: Can our leads make the
10 motion?

11 PANEL MEMBER BLANC: Please.

12 Bill, are you there?

13 PANEL MEMBER HAMMOND: I would feel happier if
14 the leads made the motion, because they know the document
15 best.

16 PANEL MEMBER NAZAROFF: How about if I just
17 second the motion that Paul made, because he expressed it
18 very well.

19 CHAIRPERSON FROINES: So we now have to go back
20 and take Buckpitt out of the picture and --

21 PANEL MEMBER NAZAROFF: You can leave Alan -- I
22 didn't Alan. So I'm seconding thinking that there was no
23 second.

24 CHAIRPERSON FROINES: There wasn't. He did
25 caprolactam. So --

1 PANEL MEMBER NAZAROFF: Oh, I see what you're
2 saying.

3 CHAIRPERSON FROINES: So following Kathy's
4 model --

5 PANEL MEMBER NAZAROFF: Yeah, yeah, yeah.

6 CHAIRPERSON FROINES: Anyway --

7 PANEL MEMBER BLANC: Stan wasn't here.

8 CHAIRPERSON FROINES: Because Stan wasn't here.
9 That was why.

10 PANEL MEMBER HAMMOND: Well, at least --

11 CHAIRPERSON FROINES: Anyway, I'm joking. I
12 shouldn't.

13 So all in favor?

14 (Ayes.)

15 (Hands raised.)

16 CHAIRPERSON FROINES: Unanimous.

17 Thank you very much.

18 And I think we can have a motion to close, unless
19 there's further discussion.

20 PANEL MEMBER HAMMOND: I so move.

21 CHAIRPERSON FROINES: This is your turn. You
22 always make these motions to close.

23 PANEL MEMBER HAMMOND: No, I want to hear Alan
24 chime in.

25 Why don't you second the motion to adjourn.

1 PANEL MEMBER EISEN: I'll second the motion.

2 CHAIRPERSON FROINES: All in favor?

3 (Ayes.)

4 CHAIRPERSON FROINES: I didn't give you a chance
5 for discussion. But I think nobody really wants it.

6 PANEL MEMBER BLANC: Are we adjourned? Mr.
7 Chair, are we adjourned?

8 CHAIRPERSON FROINES: We're adjourned.

9 (Thereupon the California Air Resources Board,
10 Scientific Review Panel adjourned at 3:54 p.m.)

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

