

MEETING  
STATE OF CALIFORNIA  
AIR RESOURCES BOARD  
SCIENTIFIC REVIEW PANEL

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY  
SIERRA CONFERENCE ROOM, SECOND FLOOR  
1001 I STREET  
SACRAMENTO, CALIFORNIA

FRIDAY, JUNE 24, 2016  
10:15 A.M.

JACQUELINE TOLIVER, CSR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 4808

J&K COURT REPORTING, LLC 916.476.3171

A P P E A R A N C E S

PANEL MEMBERS:

Michael T. Kleinman, Ph.D., Chairperson

S. Katharine Hammond, Ph.D.

Cort Anastasio, Ph.D.

Jesús A. Araujo, M.D., Ph.D.

Alan R. Buckpitt, Ph.D.

Stanton A. Glantz, Ph.D.

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Jim Behrmann, Liaison, Scientific Review Panel

Mr. Peter Mathews, SRP Support Administration

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD  
ASSESSMENT:

Dr. John Budroe, Chief, Air Toxicology Risk Assessment  
Section

Dr. Kenneth Kloc, Toxicologist (Specialist)

Dr. John Faust, Chief, Air, Community and Environmental  
Research

## I N D E X

### PAGE

1. Review of "Perchloroethylene Inhalation  
Cancer Unit Risk Factor" - SRP Review  
Draft (May 2016)

The Office of Environmental Health Hazard Assessment (OEHHA) will present to the Panel a document summarizing the derivation of a revised inhalation cancer unit risk factor for perchloroethylene (tetrachlorethylene). Unit Risk Factors (URF) are used to estimate lifetime cancer risks associated with inhalation exposure to a carcinogen. OEHHA is required to develop guidelines for conducting health risk assessments under the Air Toxics Hot Spots Program (Health and Safety Code Section 44360(b)(2)). To fulfill this requirement, OEHHA develops new, and revises existing, URFs for many air pollutants. In this document the existing inhalation URF for perchloroethylene, first adopted in 1992, was revised using the most recent "Air Toxics Hot Spots Program Technical Support Document for Cancer Potency Factors," finalized by OEHHA in 2009. After review by the Panel and adoption by OEHHA, the document will be summarized and added to the existing perchloroethylene summary in Appendix B of the Technical Support Document for Cancer Potency Factors.

3

2. Consideration of Administrative matters.

Adjournment	69
Reporter's Certificate	70

## P R O C E E D I N G S

CHAIRPERSON KLEINMAN: Good morning. I'm Michael Kleinman. I'm the Chair of the Scientific Review Panel, and I'd like to welcome everybody to this meeting.

The panel goals for the meeting are going to be to cover one agenda item, which is the panel's review of the inhalation cancer Unit Risk Factor for Perchloroethylene. This factor was developed using the risk assessment methodologies for developing URFs under the Air Toxics Hot Spots Program.

The document has undergone public review and comment over the course of the year. There were four sets of public comments which will be discussed as part of the proceedings.

The lead Panel members for this chemical are Drs. Alan Buckpitt and Stanton Glantz. Dr. Glantz has provided comments to OEHHA already, and some changes have already been made to the document in response to those comments.

Today we'll hear a presentation from OEHHA staff on the perchloroethylene document, including responses for the public comments, then we'll discuss and provide feedback through OEHHA on the document. The materials for the meeting have already been provided.

1 The SRP members are available on the website for the  
2 public.

3 As a reminder, please speak very clearly into  
4 your microphones, and this will help the court reporter,  
5 as well as to make clear to everyone else who is  
6 speaking.

7 You know, the meeting will allow the OEHHA to  
8 first present the work on how they've developed the risk  
9 factor, and then the leads will provide their comments,  
10 then each of the Panel members will have an opportunity  
11 to provide additional comments, and then there will be a  
12 general discussion, and we'll take any additional  
13 comments or suggestions for the staff.

14 So before we actually begin, I'd like to have  
15 a roll call of the panel members here just for the  
16 record.

17 Dr. Katharine Hammond?

18 PANEL MEMBER HAMMOND: Here.

19 CHAIRPERSON KLEINMAN: Cort Anastasio?

20 PANEL MEMBER ANASTASIO: Here.

21 CHAIRPERSON KLEINMAN: Jesús Araujo?

22 PANEL MEMBER ARAUJO: Here.

23 CHAIRPERSON KLEINMAN: Alan Buckpitt?

24 PANEL MEMBER BUCKPITT: Here.

25 CHAIRPERSON KLEINMAN: Stanton Glantz?

1 PANEL MEMBER GLANTZ: Here.

2 CHAIRPERSON KLEINMAN: And three of our  
3 members are not available for the meeting, but we do  
4 have a quorum and we can proceed. So at this point I'd  
5 like to move to the OEHHA presentation.

6 MR. BUDROE: Good morning, Chair Kleinman,  
7 Panel members.

8 For today's presentation we will be doing a  
9 presentation on the hot spots inhalation cancer Unit  
10 Risk Factor for perchloroethylene document. And the  
11 presentation will be done by one of our staff members,  
12 Dr. Ken Kloc. Dr. Kloc.

13 DR. KLOC: Thanks, John.

14 (Thereupon an overhead presentation was  
15 presented as follows:)

16 DR. KLOC: So today we'd like to briefly go  
17 over the methodology that we used to do our  
18 perchloroethylene Unit Risk Factor update.

19 The update is based on some new scientific  
20 information that's become available since our last  
21 development of a perchloroethylene Unit Risk Factor,  
22 and the current -- the update is based on our latest  
23 cancer risk assessment methodology which was completed  
24 in 2009 and which we'll be referring to as the "Cancer  
25 TSD."

1           And then once we finalize our new value for  
2 perchloroethylene, we'll be adding a short summary of  
3 the development of -- the technical development aspects  
4 to Appendix B of the Cancer TSD.

5                           --oOo--

6           And briefly just to show you where this  
7 analysis fits in the overall risk assessment process,  
8 it's part of -- that's up there on the slide, is the  
9 classic four elements of the risk assessment process,  
10 and our update fits into the Dose-Response Analysis  
11 portion.

12                          --oOo--

13           DR. KLOC: On slide 4 we have a picture, a  
14 pictorial representation of the structure of  
15 perchloroethylene. It's primarily used as a chemical  
16 intermediate, a solvent, and a cleaning agent. It's  
17 relatively volatile, and the Air Resources Board  
18 estimated that in 2010 approximately 3800 tons per year  
19 of perchloroethylene were emitted into the air of  
20 California.

21                          --oOo--

22           DR. KLOC: Perchloroethylene was listed as a  
23 air toxic contaminant, or toxic air contaminant in  
24 California in 1991, and OEHHA's previous potency  
25 analysis was carried out shortly after that in 1992.

1           It was based on a national toxicology program  
2   inhalation bioassay that was done in 1986, and at the  
3   time the value was based on an extrapolation from mouse  
4   liver tumor data.

5           And also in the 1992 value we used a simple  
6   pharmacokinetic model to estimate the internal  
7   metabolized doses. And the 1992 value came out to be  
8   about 6 times 10 to the minus 6 risk per microgram per  
9   meter cubed of exposure.

10                   --oOo--

11           DR. KLOC: For epidemiological studies, there  
12   have been numerous studies published on  
13   perchloroethylene exposure, primarily in occupational  
14   settings. And we've reviewed them several times, these  
15   studies, and none of them actually have exposure  
16   assessments that are suitable for doing a quantitative  
17   assessment; so that means that we then move to animal  
18   studies to develop data for the dose-response  
19   assessment.

20           However, the epidemiological studies suggest  
21   that PCE exposure increases at least three types of  
22   cancers in humans: Bladder cancer, non-Hodgkin's  
23   lymphoma and multiple myeloma.

24                   --oOo--

25           DR. KLOC: The carcinogenic action of



1 perchloroethylene is generally believed to be due to  
2 its metabolites, and that's a parent compound.

3 And PCE is generally metabolized in two  
4 biological pathways. The first is oxidation, generally  
5 carried out by the cytochrome p450 system where you  
6 have PCE being oxidized by cytochrome p450 enzymes and  
7 forming several highly reactive intermediates, which  
8 eventually react to -- either with biological molecules  
9 or to form soluble additional metabolites which are  
10 less reactive.

11 Some of the reactive metabolites are up on the  
12 slide. PCE epoxide, trichloroacetyl chloride, and  
13 oxalyl chloride. And then some of the less reactive  
14 further downstream metabolites are trichloroacetic  
15 acid, and then you have oxalic acid, carbon dioxide,  
16 and carbon monoxide. And it's possible that some  
17 dichloroacetic acid is formed, but its unclear whether  
18 or not it forms in this pathway.

19 --oOo--

20 DR. KLOC: The second major metabolic pathway  
21 for a PCE is the glutathione-conjugation pathway. And  
22 that's mediated by glutathione as transferase -- the  
23 first step of this pathway is mediated by glutathione  
24 as transferase enzymes.

25 And then several other enzymes participate in

1 the ultimate degradation and elimination via a  
2 mercapturate. However, there's some other enzymes  
3 within mammalian systems that can intervene and  
4 actually create chemically reactive and potentially  
5 biologically damaging molecules. And some of those --  
6 some of the enzymes are beta lyase, again, cytochrome  
7 p450, and flavin mono-oxygenase 3.

8 The two major reactive metabolites that can  
9 cause damage are dichlorothioketenes and unsaturated  
10 sulfoxides of the glutathione pathway.

11 --oOo--

12 DR. KLOC: On this next slide it's just  
13 essentially -- it's just a repeat of some of the  
14 information on those previous diagnosis listing some of  
15 the potentially genotoxic and tumorigenic metabolites.

16 On the left side of the table are the  
17 metabolites for the oxidative pathway, and on the right  
18 side are some of the metabolites for the  
19 glutathione-conjugation pathway.

20 --oOo--

21 DR. KLOC: So our update was based on several  
22 new studies. One of the studies was a lifetime  
23 inhalation exposure study in mice and rats, which was  
24 carried out by the Japanese -- or the Japan Industrial  
25 Safety and Health Association in 1993. It's quite

1 similar in its procedures and reporting to the 1986  
2 National Toxicology Program study, and it did have a  
3 few additional -- it had a few advantages in that it  
4 used a few additional low-dose groups in the testing  
5 and that -- actually, the Japanese strain of Fischer  
6 344 rats used in this study have a relatively low rate  
7 of one of the tumor types that have been known to be  
8 elevated, which is mononuclear cell leukemia.

9 The American strain of the Fischer rat has a  
10 relatively high rate of mononuclear cell leukemia.

11 --oOo--

12 DR. KLOC: Another new study used in the  
13 update was a physiologically based pharmacokinetic  
14 model, or PBPK model, which was published by Chiu and  
15 Ginsberg in 2011. And that model used a Bayesian  
16 Markov Chain Monte Carlo method to obtain most likely  
17 values for key metabolic parameters in the two main  
18 modes of metabolism of PCE.

19 The Bayesian method also allows a calibration  
20 of the PBPK model, using a wide range of data which is  
21 available from both rodent and human studies. And this  
22 was in vivo data from a wide range of studies.

23 And an advantage of this 2011 PBPK model is  
24 that -- over the previous models, is that it included a  
25 separate glutathione-conjugation pathway, and it's the

1 first model to have done that.

2 --oOo--

3 DR. KLOC: This is sort of a complicated  
4 diagram, but it shows a pictorial representation of the  
5 Chiu and Ginsberg PBPK model.

6 On the left it shows the various compartments  
7 that were modeled for the parent compound, which is  
8 PCE. So if you look closely, you can see the various  
9 tissues and organ systems that were modeled.

10 And on the right it shows a smaller subset of  
11 compartments that were modeled for some of the  
12 oxidative metabolism components.

13 And then in the lower right is some of the  
14 compartments modeled for conjugative metabolism.

15 --oOo--

16 DR. KLOC: Now, one of the, I suppose,  
17 innovations of this latest PBPK model is that it  
18 included a Bayesian analysis additional to just  
19 traditional PBPK modeling.

20 The Bayesian analysis is basically a  
21 statistical method to use the available data to  
22 calculate the most probable values for the important  
23 PBPK parameters. So it's essentially a sophisticated  
24 calibration process, if you will.

25 In order to do this calibration process, the

1 model had to incorporate several routes of exposure and  
2 elimination, such as inhalation, oral, intra-venous,  
3 and exhalation and urinary elimination.

4 And then once you do the Bayesian analysis,  
5 you come up with the most likely values based on all  
6 the prior data that you had available. And then you  
7 can use those most likely values, which in this case  
8 are the rate constants for the enzymatic  
9 transformations. And you can use that to run the PBPK  
10 model in sort of the traditional mode, which is just  
11 you plug in your parameters and you estimate doses.

12 And so that's the way -- actually, that's the  
13 way U.S. EPA did it when they did their reevaluation of  
14 PCE, and that's the approach that OEHHA took.

15 However, the one thing that we did do in order  
16 to simplify matters is since we were only interested in  
17 calculating internal doses based on inhalation  
18 exposure, we were able to extract the inhalation-only  
19 portion of the PBPK model.

20 --oOo--

21 DR. KLOC: And this diagram is showing -- the  
22 diagram of the inhalation-only extract of the PBPK  
23 modes is shown on this slide. Let's see. If you sort  
24 of memorize that very quickly, I'll go back and show  
25 you the original model.

--oOo--

DR. KLOC: So this is basically showing you a diagram of the left-hand side -- I'm going the wrong way -- of the original model. So if you look at the left-hand side there, you'll see most of the compartments are in place.

--oOo--

DR. KLOC: Most of the compartments are also included in the extract that we used.

So basically we used the same blood and air-flow compartments as Chiu and Ginsberg. It included the wash-in/wash-out effect for the respiratory tract, which was part of the original model.

We included the first oxidation stuff in liver, kidney, and lung. We included the first glutathione-conjugation stuff in liver and kidney, and this is what was done in the original model; and then we used the posterior modes which were determined by the Bayesian analysis by Chiu and Ginsberg. So we used those values that they determined with their sophisticated calibration process in order to run our model. And we were able to reproduce the Chiu and Ginsberg inhalation results in an adequate manner,

1 meaning to a good level of significant figures.

2 --oOo--

3 DR. KLOC: So this table here is a table  
4 that's based on values reported by Chiu and Ginsberg.  
5 And it also happens to be the values that we obtained  
6 with our model extract. You know, the simplified form  
7 which is for inhalation only.

8 I just want to -- it's sort of a dense slide.  
9 I just want to make a couple of points about it. If  
10 you look at the middle of the slide, we present  
11 internal dose-metric estimates for PCE oxidation as a  
12 percent of the amount inhaled. And so in the middle  
13 there you'll see PCE oxidation for mouse, rat, and  
14 humans. And you'll notice that mice oxidize a lot more  
15 of their intake compared to humans. So mice do about  
16 10 to 20 percent of oxidation and humans are about  
17 1 percent of oxidation of the amount of PCE that they  
18 inhale.

19 In the lower portion of this table, the dose  
20 metric is PCE conjugation, and the order of metabolism  
21 is reversed in this case. So, for example, the mice do  
22 very little -- according to the best estimates of the  
23 model, mice do very little PCE conjugation of the  
24 amount that they inhale. However, humans can do up to  
25 about 9 percent, according to the model estimates

1           In the lower right-hand cell of this table, I  
2   have highlighted what's called "the prediction range."  
3   For an example, that 1 ppm. And if you'll notice, the  
4   prediction range for humans for PCE conjugation is  
5   relatively wide.

6           And this is one of the issues with the model  
7   in that it was not able to decipher. In other words,  
8   it found relatively high-probability values for a low  
9   as well as a high estimate for conjugation, even when  
10   taking into consideration all the in vivo data in the  
11   calibration process.

12                           --oOo--

13           DR. KLOC: So in the next slide I just wanted  
14   to show you some of the dose-response data from the two  
15   rodent bioassays. This is the earlier National  
16   Toxicology Program study which was done in 1986. And  
17   in that study, that was done in both mice and rats.

18           And in mice it found elevated liver tumors,  
19   hepatocellular adenoma or carcinoma. And in rats it  
20   found elevated levels of mononuclear cell leukemia,  
21   kidney tumors, brain tumors, and testicular tumors.

22                           --oOo--

23           DR. KLOC: On the next side this is the  
24   Japanese study, which is the new study that we're  
25   incorporating. And in a slightly different strain of



1 mice, it also found liver tumors. In addition, it  
2 found hemangiomas and hemangiosarcomas, as well as an  
3 increase in harderian gland adenomas.

4 And in rats, like the NTP 1986 study, it found  
5 mononuclear cell leukemia.

6 --oOo--

7 DR. KLOC: So our Cancer Modeling Approach,  
8 what we decided to use. We assumed that PCE acts  
9 primarily through its genotoxic metabolites to form  
10 cancers, and we deemed the NTP 1986 data and the  
11 Japanese 1993 data to be adequate and appropriate for  
12 use in quantitative dose-response assessment.

13 We also used our extract of the Chiu and  
14 Ginsberg PBPK model to estimate internal metabolized  
15 doses due to inhalation-only exposures.

16 And the dose metric we chose was the sum of  
17 the first step of PCE metabolism of both the oxidative  
18 pathway and the glutathione conjugation pathway.

19 Using the dose-response data from the  
20 bioassays, we calculated both single and multi-tumor  
21 risks, and we considered uncertainty in some of the  
22 data in coming up with our final "best estimate."

23 --oOo--

24 DR. KLOC: Here's some of the details of the  
25 actual Dose-Response Analysis. We used the later

1 version of U.S. EPA's Benchmark Dose Software. We used  
2 the multi-stage cancer model and the assumption of  
3 low-dose linearity, which is one of the typical methods  
4 that we use according to our 2009 cancer guidelines.

5 Benchmark risk was calculated at 5 percent.  
6 We calculated the benchmark dose at the lower  
7 percentile. 95th percentile was benchmark dose. And  
8 we also used the Benchmark Dose Software to do  
9 multi-tumor summation in cases where animals had  
10 multiple tumors at different -- in different tissues.  
11 I'm sorry. I misstated. In the case where animals had  
12 tumors in multiple sites.

13 And, finally, we did a cross-species  
14 adjustment of the benchmark dose using the standard  
15 three-quarter-power body-weight scaling process or  
16 procedure.

17 --oOo--

18 DR. KLOC: So this table shows -- it's a  
19 little bit large. I just wanted to show you -- give  
20 you a feeling for the number of calculations that we  
21 did and the number of potential Unit Risk Factors that  
22 we came up with for our further considerations.

23 --oOo--

24 DR. KLOC: On the next slide I take some of  
25 the data that was in that original table just for

1 comparison purposes. So here these are the two cases  
2 in which we did a combined-site risk. In other words,  
3 we took tumors that were observed in animals in  
4 multiple tissues and used the Benchmark Dose Software  
5 to do a summation of risks.

6 So in the Japanese study the male mouse had  
7 tumors in multiple tissues. And we did a summation,  
8 and our combined site number was 4E to the minus 6.

9 And the summation is a -- it's a statistical  
10 procedure, but if you just don't worry too much about  
11 precision, you can just actually add up those numbers,  
12 just do a simple sum and get pretty close to that  
13 combined site value. And the advantage of thinking of  
14 it in those term is that you can see which tumors  
15 dominate the ultimate combined risk.

16 So in this case liver tumors are pretty close  
17 to the combined site risk, and the harderian gland and  
18 the hemangiosarcomas don't add too much.

19 The other study in which we did a combined  
20 site risk was the NTP 1986 study, which was one of the  
21 original studies that we used in the older value. And  
22 so we added up mononuclear cell leukemia, the  
23 testicular tumors, the kidney tumors, and the brain  
24 gliomas and came up with -- that's the highest --  
25 that's the largest risk value that we calculated out of

1 all the various risk values that we calculated.

2 And that's dominated by mononuclear cell  
3 leukemia and the testicular tumors. If you add those  
4 up, they come up to be about almost 1.60 to the minus  
5 5.

6 --oOo--

7 DR. KLOC: On this next slide I show a  
8 comparison of the values between the Japanese study and  
9 the NTP studies for the two tumor types that were seen  
10 in both studies. And it shows that the values between  
11 both studies are really quite consistent.

12 So, for example, the liver tumors in the males  
13 and the females for both Japanese and NTP studies are  
14 really quite close, with the males being somewhat more  
15 sensitive than the females.

16 And in the lower part of this table there's a  
17 comparison between the two studies for the mononuclear  
18 cell leukemia. And in this particular case the NTP  
19 study was about -- very roughly about two times higher  
20 in terms of the risk estimates that we got. And,  
21 again, the female rats are less sensitive than the male  
22 rats for this particular effect.

23 PANEL MEMBER ANASTASIO: Can I interrupt for a  
24 second?

25 DR. KLOC: Sure.

1           PANEL MEMBER ANASTASIO: I'm a novice to the  
2 cancer stuff. Can you explain the Unit Risk Factors to  
3 me, especially the units and how you would use that then  
4 to understand the risk for populations.

5           DR. KLOC: Okay. So the Unit Risk Factor is  
6 a -- it's a value which is -- when you use animal data  
7 to develop it, basically you take the original bioassay  
8 dose-response data. So the animals are exposed to a  
9 certain inhalation exposure, you know, micrograms per  
10 meter cube or parts per million; and then you see what  
11 the increment of cancer is in the various dose groups  
12 is.

13           Then you use the multiple-stage cancer model  
14 to develop basically a nonlinear regression analysis to  
15 get a slope factor at low doses. And once you get that  
16 slope factor, you use a few other assumptions to be sort  
17 of health protective when converting from species, from,  
18 say, rats to humans; and you come up with an estimate  
19 for a slope factor in humans.

20           And the slope factor essentially says for each  
21 lifetime exposure to, you know, a part per million, how  
22 much additional risk will a population receive from  
23 that?

24           And in our case the humans we used are  
25 additional -- it's incremental risk in the population

1 per microgram per meter cube of external exposure to the  
2 substance.

3 PANEL MEMBER ANASTASIO: Just to make sure I  
4 understand, so if you had an exposure of, say, 1  
5 microgram per year lifetime and the Unit Risk Factor is  
6 1 times 10 to minus 6, you would expect 1 case in a  
7 million of extra cancers because of that?

8 DR. KLOC: Exactly.

9 PANEL MEMBER ANASTASIO: So the higher the  
10 URF, the more dangerous, the more potent something is as  
11 a carcinogen?

12 DR. KLOC: Yes.

13 PANEL MEMBER ANASTASIO: Thank you.

14 --oOo--

15 DR. KLOC: So some of the considerations that  
16 we went through in looking at these various values we  
17 developed in finally choosing our final URF.

18 So we judge the mouse liver tumors and the rat  
19 mononuclear cell tumors to be -- the data from those  
20 tumors to be more certain. For one reason, we had a  
21 good qualitative and quantitative agreement in the two  
22 primary studies, and then we also had some qualitative  
23 support for mouse liver tumors from an additional study  
24 that I didn't mention yet. It was a National Cancer  
25 Institute oral study that was done way back in 1997 that

1 observed increases in mouse liver tumors.

2 Another consideration, we felt it was -- the  
3 NTP study in rats found some tumors that weren't found  
4 in the Japanese study -- the testicular, the brain  
5 tumors, and the kidney tumors.

6 We thought that -- we sort of deemed those to  
7 be less certain data, but we thought they were important  
8 to include in the study, mainly because the strain of  
9 rats was slightly different. I mean, it's a substrain  
10 of rats, the American substrain, and so that's  
11 genetically slightly different than the Japanese  
12 substrain. So we thought that it was -- that both of  
13 these studies in rats gave us non-redundant information  
14 to incorporate into this analysis.

15 However, like I said, we did deem these  
16 results to be somewhat less certain. For example, with  
17 the testicular tumors, there's a high historical  
18 background rate in this animal model. And in the  
19 control group for the NTP study the background rate was  
20 71 percent.

21 Nonetheless -- I mean, the statistical tests  
22 show that there was a statistically significant increase  
23 upon various dose categories.

24 --oOo--

25 DR. KLOC: Other considerations. The other

1 consideration was that male rodents were consistently  
2 more sensitive than the females, so we chose to focus  
3 our attention on the male rodent values. And for those,  
4 the Unit Risk Factors that we calculated were within a  
5 relatively narrow range,  $4E$  to the minus 6, to  $1.6E$  to  
6 the minus 5, which is about a factor of 4.

7           So taking into consideration all the various  
8 somewhat vague uncertainties, we decided that it might  
9 be good to choose some sort of a middle value and to  
10 de-emphasize some of the less certain data. But we  
11 chose the geometric mean of both the male mouse and rat  
12 Unit Risk Factors from both studies as the proposed  
13 final value that we would come up with.

14                           --oOo--

15           DR. KLOC: I think this is the last slide.  
16 We'll just show you the four numbers that went into the  
17 final calculation. So it will be the Japanese  
18 multi-site value, the NTP liver value for male mouse.  
19 And for male rat, the Japanese MCL value and the NTP  
20 multiple-site value.

21           Ultimately, our Unit Risk Factor was about 6  
22 times  $10$  to the minus 6 per micrograms per meter cube.  
23 And if you remember to the very beginning slides, that's  
24 actually pretty much the same number as it was in the  
25 1992 version.



1 PANEL MEMBER ANASTASIO: Sorry to interrupt.  
2 What was the current EPA value?

3 DR. KLOC: It's 23 times less potent. I don't  
4 remember the exact number, but 23 times less.

5 So that's the presentation to this point. And  
6 at this point I will ask the Chair if they would like to  
7 continue or take a break.

8 The rest of the presentation, by the way, is  
9 going to be covering the main comments from public  
10 commentators.

11 DR. GLANTZ: I think it would be useful to  
12 explain the differences between the way you did it and  
13 the way EPA did it to account for that difference,  
14 because the commenters jumped all over that, as you  
15 recall.

16 So why don't you go through, you know, the  
17 differences between -- you know, the decisions you made  
18 versus the decisions that they made that led to that  
19 difference. It's pretty direct, actually, but I think  
20 it's worth explaining and getting it on the record.

21 CHAIRPERSON KLEINMAN: All right. Perhaps in  
22 the context of this, to go over -- you know, since the  
23 commenters had done it; you responded to that, so why  
24 don't we just continue with the discussion at that  
25 point.

1 DR. GLANTZ: Rather than doing it in the  
2 context of the comments, it would just be quicker and  
3 clearer for them to just explain it. And then we can  
4 deal with it in the context or the comments, rather than  
5 conflating the two. Because it is an important point of  
6 judgment in the document. And it's not that  
7 complicated, actually, so...

8 DR. KLOC: All right. I'll take a crack at  
9 it, even though I don't have any slides. And my  
10 colleagues should jump in if I miss anything.

11 So anyways, I would say that the main  
12 difference, which leads to about a factor of 10 or 11,  
13 that we're a little bit more health-protective by a  
14 factor of 10 or 11, has to do with the fact that we used  
15 a total metabolized dose which incorporates that  
16 glutathione-conjugation pathway.

17 U.S. EPA apparently in their 2008 draft used  
18 the same dose metric. But then in their 2012 draft they  
19 backed off of that and went to an oxidation-only dose  
20 metric.

21 In our document, we basically do a calculation  
22 in which we show that the difference between those two  
23 dose metrics will give you approximately a factor of 11  
24 additional conservatism or health protectiveness;  
25 meaning if you utilized the total metabolized dose with

1 glutathione conjugation in it, it becomes 11 times more  
2 potent in your final answer. So that's the 11 times.

3 And then there's another factor of 2 or so  
4 that our number is more health protective or more  
5 conservative, if you will. And that probably comes into  
6 play because we used the NTP data in addition to the  
7 Japanese data.

8 If you notice in the -- if I remember this  
9 correctly, the MCL data in the Japanese study gave a  
10 factor which is about two times less than the MCL data  
11 in the NTP study, two times less potent, and so that  
12 could come into play.

13 And then the other thing was that U.S. EPA  
14 didn't do multi-site summation and we used the  
15 multi-site summation procedure, which gives you a higher  
16 potency factor in general because you're summing up  
17 tumor types.

18 Some other potential sources of that  
19 additional, you know, two, two and a half level of  
20 health protectiveness would be -- well, in the NTP study  
21 we summed up several tumor types that I think -- U.S.  
22 EPA did the calculation for those tumor types, but they  
23 ultimately decided not to consider them; so they didn't  
24 consider testicular tumors.

25 I don't believe they considered kidney tumors;

1 although actually in our calculation the kidney tumors  
2 are a relatively minor factor because they didn't add in  
3 very much to the final answer. But the testicular  
4 tumors did add in about 50 percent to that high value.

5           On the other hand -- so what we did, we took  
6 sort of a median value of the various numbers we chose,  
7 so we came down a bit from our most -- the most  
8 stringent potency factor that was calculated amongst all  
9 the values that we calculated.

10           DR. GLANTZ: So I'm coming at this from the  
11 point of view of the modeling. I'm not a toxicologist  
12 but -- I mean, I think what they did was better than  
13 what the EPA did because it was more comprehensive in  
14 terms of the data set that was used because it's  
15 considered total internal dose for both pathways, and it  
16 seems to me irrational to just ignore the larger of the  
17 two pathways.

18           And I think that it's also looking at trying  
19 to get at all of the effects together. So, I mean, I  
20 think it's a much more defensible approach than what EPA  
21 did. I think it was very odd that when you have  
22 something that you know is bringing -- a chemical is  
23 being metabolized into something with toxic results that  
24 you would take the pathway that's producing most of the  
25 internal exposure and just ignore it, which is what EPA

1 did.

2 And so I, at least as I understand all of  
3 this -- and I met with the staff about the comments I  
4 had sent them before the meeting and we talked about  
5 this, and I really think that this is a far superior,  
6 more defensible scientific analysis than what's in the  
7 EPA report.

8 I don't even think it's even a matter of being  
9 health conservative or not. I think it's just better  
10 because it's making use of more information and  
11 everything that we know about the multiple pathways;  
12 so...

13 PANEL MEMBER ANASTASIO: So on that note it  
14 does seem you want to take into account the GSH  
15 conjugation, but it seems that the estimate for that is  
16 extremely uncertain, and so how do you constrain that?

17 DR. KLOC: Yeah. Let me -- yeah. I can go  
18 back and address it. You know, one of the things about  
19 the Chiu and Ginsberg model in that area of what I call  
20 uncertainty -- and, actually, at that point I probably  
21 should have pointed out is that we're not sure if it's  
22 uncertainty. It actually could be actual biological  
23 variation that's being represented there in that very  
24 wide spread numbers.

25 And Chiu and Ginsberg spent a lot of time

1 actually trying to figure out how much of that spread  
2 was due to uncertainty versus actual biological  
3 variation, and they were unable to, unfortunately, even  
4 with various quantitative calculations that they did.

5           So it's one of these unfortunate decision  
6 points in which you, even though you do very  
7 sophisticated analysis and have quite a bit of data in  
8 front of you, you still have this sort of an irreducible  
9 unknown that you have to make your decision based on.

10           PANEL MEMBER BUCKPITT: I'll have some  
11 comments that I've written, but part of that  
12 uncertainty, I think, goes back to some of the work that  
13 was done where they're showing huge range in the rates  
14 of metabolism. And in looking at the primary literature  
15 in that area, I think some of that literature,  
16 particularly in the low side, is badly flawed.

17           So I think OEHHA has really taken a good  
18 approach to this thing. I think they're right on the  
19 money.

20           PANEL MEMBER HAMMOND: I was just going to  
21 comment that I do think it's also important, the  
22 variability, as you talked about that, and certainly the  
23 bluebook risk assessment. They talk about there being  
24 10,000-fold variability for some of these factors. I  
25 don't know if there's any better data someplace on that,

1 but I think it's not inconceivable. It certainly makes  
2 sense that that could be true variability.

3 DR. KLOC: Right. So for the  
4 glutathione-conjugation pathway, the first step is  
5 mediated by glutathione S-transferase. And so it's know  
6 in human populations some of the various genotypes of  
7 this enzyme are actually absent, so those members of the  
8 population won't -- they do not have any of that enzyme  
9 to carry out that particular portion of the pathway; so  
10 that could lead to some pretty large variation if you  
11 have some efficient metabolizers and zero metabolizers.

12 The problem is that one of the unknowns with  
13 the data is that people have not figured which isoforms  
14 of GST are most important in this particular pathway.  
15 They just know that GST in general does it.

16 PANEL MEMBER ANASTASIO: If I could summarize  
17 for myself, the higher the fraction of internal dose  
18 that gets conjugated by GSH, the higher concentration of  
19 toxic metabolites you have; is that correct?

20 DR. KLOC: Generally, that's -- yes. That's  
21 sort of the assumption that people generally make.  
22 There's a few more enzymatic steps that have to occur  
23 before you get to your ultimate toxicant. But in the  
24 analysis that we've done and that other people have  
25 done, they assume that if you do the first step that

1 increases the probability that you're going to go  
2 through the second, third steps of enzymatic processing  
3 to get to that ultimate toxicant.

4 PANEL MEMBER ANASTASIO: And you were using  
5 this estimate on slide 15 -- the 9.4 percent of the  
6 intake is conjugated GSH? That's the OEHHA use in the  
7 model?

8 DR. KLOC: Right.

9 PANEL MEMBER ANASTASIO: Okay. And Alan,  
10 you're suggesting or saying that based on the literature  
11 the higher value is actually more likely to be correct?

12 PANEL MEMBER BUCKPITT: I think the value that  
13 they used is certainly within the reasonable range, and  
14 I think their data does suggest that.

15 Some of the publications that they had to base  
16 their analysis on showed either no activity at all or  
17 very low activity in the human. But if you look at the  
18 analytical methods employed with that, they were either  
19 quite insensitive or they were just plain wrong. They  
20 had such high background levels that you'd never see  
21 anything. So I think they've rightly set a number on  
22 this that's correct.

23 PANEL MEMBER ANASTASIO: I think I understand  
24 now. Thank you very much.

25 DR. KLOC: You're welcome.



1 CHAIRPERSON KLEINMAN: And the reactive  
2 compounds that are the real toxicants, their biological  
3 half life is going to be rather small so that the chance  
4 of detecting them, you know, during the process becomes  
5 very difficult; so -- and you really can't do much other  
6 than identify the initial process, and then you really  
7 have to rely on the toxicokinetic modeling to end up  
8 with the potential dose of the carcinogens. It does  
9 seem to make sense.

10 I think it would probably be useful to have  
11 Dr. Glantz and Dr. Buckpitt, you know, provide their  
12 comments on where we've been so far, and then move on to  
13 the responses to the other comments.

14 Alan, do you want to start?

15 DR. GLANTZ: Why don't we let Alan go with  
16 first. I've more or less said what I have to say,  
17 actually, but I have a couple more minor points.

18 PANEL MEMBER BUCKPITT: Okay. And, again,  
19 this would be a reiteration of some of the things that  
20 I've said. And it's relatively short, so we're not  
21 going to be here for a long time.

22 I will say that I thought this document was  
23 quite well written, particularly with Stan's  
24 improvements. That you evaluated the literature, not  
25 just enumerated the literature. You looked at some of

1 the factors associated with your risk assessment. And I  
2 think you did a great job of being succinct and clear.

3 I think that this committee does need to  
4 really think about and discuss the business of the  
5 20-fold or 23-fold difference between the EPA and  
6 OEHHA's level and determine whether that is justified.

7 So let me go through from my take on your  
8 document what I thought the two issues were. And we  
9 just discussed this.

10 One is the use of the total metabolism in  
11 doing the dose metrics. And I'll go through in more  
12 detail about the glutathione-conjugation pathway but,  
13 again, going through the literature. There's very good  
14 evidence, I think, that you selected properly.

15 And then the other issue that's key is the use  
16 of multiple site of tumors and the use of the  
17 mononuclear cell leukemia. And there's a lot of  
18 pushback from the DoD and the California Chamber of  
19 Commerce on those issues.

20 So let's go through the discussion on the  
21 differences and rates of formation of the glutathione  
22 conjugates. There are only a few studies out there that  
23 actually use perchloroethylene. One was the DeKant  
24 publication in 1998, and they show that there's no  
25 detectable rate of metabolism or PCE in humans.

1           Well, if you look at their method, they were  
2 doing HPLC with UV detection; they were using 260  
3 nanometers. That's pretty doggone insensitive. So  
4 you'd have to have a pound of glutathione conjugate  
5 generated in those incubations to really even see  
6 something.

7           I was surprised that they didn't use lower  
8 wavelengths on that; but, nevertheless, I think that's  
9 probably -- for yesterday's standard that was probably  
10 adequate, but we know that there are glutathione  
11 conjugates formed from that.

12           So then you're left with a couple of papers  
13 from Larry Lash and Trevor Green on trichlorethylene.  
14 Trichlorethylene is essentially perchloroethylene minus  
15 chlorine. So you can say, Well, the two compounds  
16 aren't the same, but they're close enough so that the  
17 glutathione pathway is going to be pretty similar.

18           Green's paper said, Well, you know, there's  
19 not much activity. In fact, I think he reported that  
20 there's no activity in the human. But if you really  
21 take a close look at the paper, he was using carbon 14  
22 labeled material. He had so much contamination from the  
23 potential glutathione conjugate that he would have had  
24 to have seen a ton of metabolic activity to actually see  
25 it. His incubations were contaminated with about

1 50 percent of the trichlorethylene glutathione  
2 conjugate. So in my mind those studies are really not  
3 very helpful.

4           The other thing is that he used only cytosolic  
5 fractions when he did those analysis. If you look back  
6 at some of the data on hexachlorobutadiene and some of  
7 the other chlorinated compounds, there's an equal, if  
8 not greater, amount of glutathione conjugation actually  
9 generated in microsomal incubations. There are  
10 microsomal glutathione transferases.

11           The last manuscript reports values that are  
12 what? 5,000 fold higher than what were reported by  
13 Green. And I'm not certain where you came up with your  
14 numbers, the 5750 on page 18, but you can sort that out  
15 later; but clearly very high rates of metabolism.

16           And Larry Lash's publications have been  
17 criticized for the method that he used. And essentially  
18 what he did was he took these glutathione conjugates  
19 that did not absorb very well in the UV, and he  
20 derivatized them with fluorodinitrobenzene. Essentially  
21 went from an old method that Don Reed had published on  
22 doing glutathione analysis.

23           That method has been criticized quite a bit  
24 for the fact that if you're not very careful and cap the  
25 supplied glutathione, you get a lot of variability in

1 the assay. Well, you don't have to worry about it in  
2 the case of looking at these conjugates because they're  
3 already capped.

4 Fluorodinitrobenzene has been used as a  
5 derivatizing agent for means for 30 years, 40 years.  
6 It's a pretty stable reaction, and done properly, will  
7 give you pretty good numbers. So I think you're right  
8 on the money in that regard.

9 If you look -- and there have been some  
10 studies of rat versus human excretion of the N-acetyl  
11 trichlorovinyl cysteine derivative, so this would be the  
12 mercapturic acid from perchloroethylene exposure.

13 You can see levels in the human exposed to --  
14 and it's dose responsive. It's not what the rat is. If  
15 you step back and say, all right, let's calculate how  
16 much conjugate is produced per body weight. But it's  
17 certainly not the 25,000-fold difference that you see in  
18 the two publications looking at trichlorethylene  
19 metabolism.

20 So, again, I think this shows that certainly  
21 the human can make these glutathione conjugates, and  
22 part of that is excreted as the mercapturic acid.

23 What we don't know -- if you look at the  
24 metabolic pathways, what we don't know is how much of  
25 that gets siphoned off to generate the reactive

1 metabolite, essentially the thioketene from beta-lyase  
2 metabolism.

3           So that's a data essentially whole that we  
4 have no way of plugging, but certainly we should use the  
5 conjugative pathways, as well as the oxidative pathways,  
6 in doing these analysis.

7           So that really is the summation of the  
8 glutathione pathway. I think it's very well justified,  
9 and I think the pushback from your DoD and others is not  
10 well-founded.

11           The other thing that you could think about  
12 doing -- and I'm not sure this is really going to  
13 clarify anything, but if you look at -- there have been  
14 AMES assays on the PCE epoxide, on the trichloroacetyl  
15 chloride, on your glutathione and cysteine conjugates  
16 with and without beta-lyase. If you look at the  
17 glutathione part of that pathway, they generate some  
18 really nasty metabolites.

19           So you could go through and make a list and  
20 say, well, you know, this dichloroketene is really a  
21 potent mutagen. It doesn't translate to being a potent  
22 carcinogen, but, you know, it should be a concern. I'm  
23 not sure you want to go there, but certainly if somebody  
24 said, Well, you know, your use of the glutathione  
25 pathway is not well justified, I think you could use

1 that as one of the arguments that those metabolites are  
2 important.

3 And then the other issue -- and it's minor in  
4 comparison -- was the use of multiple tumor sites and  
5 the use of the MCL. And, again, that's a directive that  
6 you folks have to live with; so I think that's a  
7 nonissue at this point.

8 I had a few typos. Other than that, I thought  
9 it was a nice clean document.

10 CHAIRPERSON KLEINMAN: Thank you.

11 Stan.

12 DR. GLANTZ: Well, I sort of made my main  
13 points earlier. But I think this issue about the one  
14 factor where there is a lot of uncertainty in a  
15 bimodality in the distribution, which is concerning, but  
16 I think -- as I understand it, the higher mode is the  
17 more probable mode; right?

18 DR. KLOC: That's correct.

19 DR. GLANTZ: Do you remember by how much?

20 DR. KLOC: You know, I don't remember what  
21 the log likelihood units values were. If I can just  
22 hazard a guess, I would say it was 1300 log units.

23 DR. GLANTZ: Versus what?

24 DR. KLOC: Well, you know, the Chiu and  
25 Ginsberg analysis, it was a partial Bayesian analysis,

1 so they only determined the modes. They didn't actually  
2 determine the entire distributions.

3 DR. GLANTZ: Oh, okay.

4 DR. KLOC: So I'm not clear as to whether or  
5 not you can actually get a probability value out of just  
6 knowing where the modes are located.

7 DR. GLANTZ: Okay. But I think it's -- the  
8 fact that it's the more likely mode, the higher peak, is  
9 important. And, again, if you go back and look at the  
10 cancer risk guidelines, it says in there that when you  
11 have this kind of uncertainty you should go with the  
12 higher number.

13 So I think -- and based on the earlier  
14 discussion, which was -- you know, there's a lot of  
15 variability, in-a-person variability, and the sort of  
16 general principle of going with the susceptible sub  
17 groups; and I think that's a reasonable approach to  
18 take.

19 And the one other thing in the changes that  
20 were made in the document to put the models in, which  
21 I'm glad you liked. It made it a lot clearer to me. I  
22 think the one point that didn't come through is when you  
23 put that slide up showing the predictions of the Chiu  
24 and Ginsberg model and the predictions of the OEHHA  
25 model to the number of digits that were in that table,



1 they were the same. So I think that could be made a  
2 little clearer in the document. Because, you know, when  
3 I met with the staff, I said, "Well, where's the  
4 difference? It's like there isn't any."

5           So the reduced model performs very, very well.  
6 And the important thing, I think, to understand about  
7 the reduced model is they didn't get rid of anything  
8 that is related to the inhalation pathway. All they  
9 took out was the other pathways, but all the kinetics  
10 for perchloroethylene that were related to inhalation  
11 were present in the simplified model. And I think  
12 that's why it came out so good, is because those other  
13 factors just aren't -- they're not being considered.

14           So, I mean, I also agree that -- I think it  
15 was well done. I thought that the -- I mean, I read all  
16 of the public responses and response to comments, and I  
17 thought the responses were quite well done; and the  
18 comments were actually pretty thin in terms of the  
19 substance of them.

20           So that's what I have to say.

21           CHAIRPERSON KLEINMAN: This might be a good  
22 time for the rest of the panel to have any other  
23 comments, and then we can move on to the responses to  
24 the public comments.

25           Kathy, do you want to start?

1           PANEL MEMBER HAMMOND: I thought the document  
2 was well written and made good sense, as I read it. And  
3 I certainly agreed with the inclusion, for instance, of  
4 MCL and the multiple sites and the different metabolic  
5 pathways. I think that those are really important. And  
6 jumping ahead to the comments -- and the comments seemed  
7 to be kind of, Why don't you have U.S. EPA numbers? And  
8 I guess California's been better than the U.S. EPA for a  
9 long time, and this is just one more instance.

10           PANEL MEMBER ANASTASIO: Yeah. Just one  
11 general comment based on my comments before.

12           I think it would be helpful for a reader to  
13 understand the difference between what you did and what  
14 EPA did. You talk about that, but often you talk about  
15 just one side of it. You just, you know, EPA did this.

16           It would be helpful -- for example, on page 9,  
17 as an example, you talk about what EPA did. You know,  
18 they just use oxidative metabolism. It would be  
19 helpful, I think, in that same paragraph to say, But we  
20 did this for this reason. Because that is the big  
21 contrast, you know, the EPA number from OEHHA number.

22           So I think whenever you bring up the EPA  
23 number, you should indicate what did you do differently  
24 and why. And, again, that's all in there, but it's  
25 helpful as a reader if it's in the same place.

1 CHAIRPERSON KLEINMAN: Jesús.

2 PANEL MEMBER ARAUJO: Yeah. I think that the  
3 document is very well written and very clear. I agree  
4 with all the comments. I just have a question for my  
5 own clarification.

6 So we've been discussing about the different  
7 approaches of the EPA versus us, or you and I'm reading  
8 here that it says -- so the EPA did take the Chiu and  
9 Ginsberg model to calculate the positive factors. And  
10 in some place also it mentions the dose metrics, but it  
11 didn't really take it for a calculation of the  
12 concentrations? I mean, what is it what it took or what  
13 is it what it didn't, as compared to what you're taking?

14 And if we go to the Table 1 that you  
15 presented, you know, they have, like, a different -- the  
16 model, they have different concentrations and the  
17 prediction range, that table, Table number 1.

18 So is there a comparison that you could do,  
19 let's say, on that table? What you -- the EPA did  
20 versus if you were to be doing this, how would that  
21 look? Because that already looks pretty good, you know,  
22 coming from the model of Chiu and Ginsberg. Pretty much  
23 of the prediction ranges and includes the values that  
24 are included in the table.

25 So the EPA didn't take anything of this model

1 when they made their decisions?

2 DR. KLOC: For the inhalation risk factor that  
3 they calculated, they used the center numbers, which  
4 refer to PCE oxidation, percent of intake oxidized.  
5 Those are the values they used.

6 And actually, even though I hadn't mentioned  
7 it, we independently were able to calculate U.S. EPA's  
8 input values; so their application of this model we were  
9 able to reproduce.

10 DR. KLOC: So the difference between what EPA  
11 used, which was just the values for PCE oxidation and  
12 what we did, was we also added in the values for PCE  
13 conjugation to create a total metabolism.

14 Did I answer your question?

15 PANEL MEMBER ARAUJO: Yeah. But that's where  
16 I get confused in how you present it. In the eyes of  
17 the EPA -- on page 7 -- so you're saying the EPA used  
18 the Chiu and Ginsberg model to estimate a trial dose  
19 metrics in its recent PCE cancer-positive transferase,  
20 and then you go on to the various things. It uses a  
21 Bayesian Chain Monte Carlo, et cetera, et cetera, et  
22 cetera, and you refer to the table.

23 So it's not really clear to me. On one hand  
24 you're saying they're using this model, and in some  
25 other places you're saying that they're using only a

1 part of this model.

2 DR. KLOC: Okay. So maybe just --

3 PANEL MEMBER ARAUJO: Maybe it's my own  
4 confusion. I don't know if that looks clear to the  
5 other members of the panel. And if it did, maybe I need  
6 to be paying more attention.

7 DR. KLOC: I think I see your point. You  
8 know, it is a little confusing because both OEHHA and  
9 U.S. EPA use basically the same model. It's a little  
10 more complicated because we've extracted a certain part,  
11 so we have this more streamlined version of the model  
12 that we're using. So it makes -- the presentation is a  
13 little -- maybe a little bit difficult to follow in that  
14 sense.

15 Is that what you're getting at?

16 PANEL MEMBER ARAUJO: Yes. But part of it  
17 would be the presentation. The other could be like --  
18 aside from theoretical considerations that -- to be in  
19 more comprehensive and inclusive is better than not.  
20 And I don't know if there is any possibility of showing  
21 it and demonstrating it, you know.

22 And when I see this table, for example, I'm  
23 going to say, Did the EPA do this table and this table  
24 is already included in the prediction ranges and all the  
25 values that are, like, both in pathways? I'm saying,

1 How much better can it be, you know?

2 And it is true there's a large variability,  
3 but as part of the large variability they're able to  
4 predict it.

5 So the model is critical. You know, I mean,  
6 they're actually able to really predict other values, so  
7 how much better in being more inclusive, you know?

8 DR. KLOC: I think we do have a section in the  
9 document where we actually calculate what the difference  
10 in the final value would be if you used either oxidation  
11 only or the total metabolized dose. So we actually --  
12 we show that it would be about a factor of 11.

13 PANEL MEMBER ARAUJO: But that's an estimate,  
14 and can you prove it? I mean, whether the predicted  
15 values correspond to what actually was measured and the  
16 one -- this model was actually more accurate than the  
17 model that they used?

18 What I'm saying is can we go beyond the  
19 theoretical consideration and actually use any data that  
20 would support, you know, one or the other?

21 DR. KLOC: I would say that the Chiu and  
22 Ginsberg model, because it's basically calibrated and  
23 incorporates utilizing that Bayesian statistical method,  
24 it incorporates all the useable in vivo data that was  
25 available up to 2011; so it's probably the best estimate

1 that you could possibly come up with.

2 And in fact -- we didn't go into this. In the  
3 U.S. EPA -- Chiu and Ginsberg actually developed this  
4 model, I believe, in conjunction with the work that the  
5 U.S. EPA was doing at the time in order to develop their  
6 number; and the National Research Council made the  
7 recommendation at the time that this model be developed  
8 in the way that Chiu and Ginsberg did it.

9 So it does represent sort of the state of the  
10 art in terms of our capability to estimate the internal  
11 doses due to inhalation exposures.

12 PANEL MEMBER BUCKPITT: I wonder if it would  
13 help if you had a section of your report that  
14 essentially said these are the levels; this is the Unit  
15 Risk Factor listed by the EPA; this is what ours is, and  
16 the differences are related to A, B, and C. Would that  
17 help clarify the difference?

18 PANEL MEMBER ARAUJO: What you're saying is  
19 presentation; right? Just on working on a more clearer  
20 presentation?

21 PANEL MEMBER BUCKPITT: Exactly. In other  
22 words, if you had a question about why their numbers  
23 were so much different from the EPA, would it be worth  
24 putting in a paragraph in your report saying, All right.  
25 This is what the numbers were, and these are why they're

1 different.

2           It's sprinkled throughout your document, but  
3 maybe focusing in one place.

4           DR. GLANTZ: Well, no. Because that is one of  
5 the big issues that came up in the public comments. And  
6 you're right, it is in there. It's just sort of  
7 scattered.

8           And so I think just putting a paragraph or two  
9 with a heading just explaining why they're there, but  
10 basically the things that you said in the presentation  
11 why they're different -- how they're different, why  
12 they're different, and why what you're doing is better.  
13 And just put it all in one -- I mean, you can leave it  
14 sprinkled, too, but I would just add a heading that  
15 says, you know, why we use this, where the differences  
16 are coming from, and why what we did makes more sense.

17           I don't think it's a substantive change to the  
18 document, but I think it would make it better in terms  
19 of clarity. Again, because that was like the main  
20 substantive issue that was raised in the comments.

21           CHAIRPERSON KLEINMAN: Katharine.

22           PANEL MEMBER HAMMOND: As a non-toxicologist,  
23 I have a couple of questions.

24           Based on what Dr. Buckpitt said -- and I'm  
25 looking at this lower right-hand cell on the PCE



1 internal dose metrics and that range. I noticed in the  
2 footnote you comment that it's a bimodal distribution.  
3 And correct me if I've got this wrong, but I'm wondering  
4 if Dr. Buckpitt is telling us that it's bimodal but the  
5 low end of that bimodal is really where we have  
6 poor-quality data. And that would make sense to me,  
7 then, that you've tended to use a value that's near the  
8 high end, the 10.

9           And perhaps rather than having all of that, it  
10 might be better -- I know that sense of that huge range  
11 that is upsetting to a lot of people, but the huge range  
12 is there because there's poor-quality data dragging it  
13 down at the low end.

14           And so maybe there should be an evaluation of  
15 those data, as he suggested, and then you exclude those  
16 data because it's poor quality, rather than putting it  
17 into the table.

18           So that's one comment and suggestion. I have  
19 a second one after that. And, again, not my area.

20           DR. KLOC: One of the difficulties -- that's a  
21 good idea, but one of the difficulties in implementing  
22 it is that the -- so the data that Dr. Buckpitt was  
23 speaking of is in vitro data; correct?

24           DR. HAMMOND: Uh-huh.

25           DR. KLOC: So that prediction range that was

1 calculated by Chiu and Ginsberg is based on all the in  
2 vivo data. So in their paper they calculate this  
3 prediction range based on in vivo data, then they  
4 proceed to look at the in vitro data to see whether or  
5 not there's consistency. So it would be difficult to  
6 discount the prediction range by just looking at the in  
7 vitro data.

8 PANEL MEMBER HAMMOND: And then I guess the  
9 other thing about the -- it's the in vivo data that  
10 you're saying is reflected here. In vivo would be  
11 including people who have no -- I don't know how to say  
12 this right, but none of this conjugation route; is that  
13 correct? So it's actually real.

14 I mean, in other words, you would expect there  
15 to be a zero at the end of this because they don't have  
16 the glutathione conjugate.

17 DR. KLOC: There's a probability. The thing  
18 is that humans have several isoforms of glutathione as  
19 transferase. Only a few -- only, I believe, two of them  
20 can have members of the population that have zero.

21 And so if PCE is metabolized by several of the  
22 isoforms, there might be some overlap and some  
23 redundancy in the pathway. But then again, no one knows  
24 if it's a specific isoform that's primarily responsible.  
25 And if it's a specific isoform form that can have a null

1 genotype, then you'll see this huge potential variation.

2 PANEL MEMBER HAMMOND: Okay. That makes sense  
3 then. But at the same time it makes sense there may be  
4 this range; it may be real, so we leave it there. But  
5 we certainly know that a large portion of the population  
6 is at that high end, and so we want to include that in  
7 the risk assessment.

8 Again, my second question is from a  
9 non-toxicologist. It seems to me -- and I may be  
10 misinterpreting it -- that it's as if all the  
11 metabolites are equally toxic. It's an implicit  
12 underlying assumption here whether they're through the  
13 oxidation route or the conjugation route. Is that  
14 correct? And is it that we just don't know or -- it  
15 seems like we're just saying as long as it's metabolized  
16 now it's bad.

17 DR. KLOC: Right.

18 DR. HAMMOND: Is that correct?

19 DR. KLOC: That is an assumption. It's  
20 unavoidably simplistic.

21 PANEL MEMBER HAMMOND: But it's because that's  
22 the limit of our knowledge?

23 DR. KLOC: Correct.

24 PANEL MEMBER HAMMOND: Okay.

25 CHAIRPERSON KLEINMAN: One of the things based

1 -- you know, working off of what Kathy said, given that  
2 there is this range of sensitivities within the human  
3 population, it is part of our goal to protect the more  
4 sensitive members of the population, and therefore  
5 taking the upper mode or the more sensitive mode does  
6 make sense in terms of, you know, our public health  
7 mission as well.

8           It might be useful, though, to actually  
9 document -- and I think there's a fair amount of data  
10 out there on, you know, what percents of the population  
11 have different isoforms of GST. And just to put that in  
12 to show, you know, that this is a major part of the  
13 diversity of the human population, which fits into --  
14 this is empirical, measured data; and you'd expect to  
15 have that kind of uncertainty, perhaps.

16           PANEL MEMBER BUCKPITT: Equally important to  
17 your enzyme activities, of course, is the supply of the  
18 co-substrate, and that can vary a lot between  
19 individuals, depending upon how well fed they are and  
20 whether they're alcohol abusers, and the rest of it.

21           PANEL MEMBER ARAUJO: Yeah. But just to wrap  
22 up on this issue that I first mentioned, I agree with  
23 Dr. Glantz's comment and that your presentation was  
24 excellent. And it was very clear, and it contains the  
25 elements that are already in the other report. And

1 it's a matter of now just going back to the report,  
2 actually look at your presentation, and see how you can  
3 instill like the same clarity, you know, perhaps with  
4 the same -- the flow of how you present it. You know,  
5 in some of the places you can put in some things and it  
6 will make it a little bit more clear for the reader. One  
7 little comment.

8           And in relation to this cause, maybe in the  
9 beginning and how you start, like how they -- you saw,  
10 how they talk toxicokinetics. So you have like two  
11 paragraphs, then you go to the PCE metabolites and you  
12 list them. Then you have a long paragraph in here where  
13 it talks about some metabolites that could or could not  
14 be, and so many species or the other. That sounds a  
15 little bit confusing. And then you come up with  
16 something incredibly clear, which is when you refer to  
17 the figures and then you talk about the oxidative  
18 pathways and the GSH pathways. So I would suggest to  
19 move that up front. And, actually, even just present  
20 the pathways maybe from the beginning.

21           And once the reader starts, like, having some  
22 idea about the different species, then you can talk  
23 about the specific metabolites and whether some of those  
24 metabolites already have been demonstrated or not to be  
25 in part of these pathways in the different species.

1           So the second comment that I would like to  
2 make is like a -- so we're really talking about like  
3 major pathways that are involved here, and with the GST;  
4 so we have to consider all the NRF2 pathways, or NRF2 or  
5 phase 2 responses, and with the cytochrome p450. So  
6 we're talking about like a hydrocarbon receptor pathways  
7 and phase 1 responses.

8           And I don't see reports on, you know,  
9 perchloroethylene and NFR2 or perchloroethylene and HR.  
10 Is it something that came up, or do you recall when you  
11 were investigating this, or there was really nothing?

12           DR. KLOC: The only thing that comes to mind  
13 at this point is that -- there was one study I came  
14 across which indicated that you can -- that PCE exposure  
15 can induce metabolism in rats over a longer term of  
16 exposure. And I don't remember if it -- oh. And it's  
17 the conjugation pathway, actually. So the conjugation  
18 pathway can be induced on long-term exposure to rats.  
19 So whether that involves a nuclear signaling pathway I  
20 can't comment on at this point.

21           PANEL MEMBER ARAUJO: Okay. And you mentioned  
22 towards the end of the document the PPR alpha pathways,  
23 and there are people who have been involved with  
24 tumorigenesis but have -- there are also many regulators  
25 of lipids and lipid metabolism and major regulator of

1 mitochondria alpha oxidation or beta oxidation.

2 I'm saying that he referred to the PPR alpha  
3 pathways, which are major regulators. It's a major  
4 regulator of the lipid metabolism. But you don't really  
5 say much of that in the report. Maybe because there is  
6 not much in there. Is that the case, or you just  
7 obviate it and...

8 DR. KLOC: In our review we relied at that  
9 point on some pretty extensive reviews that U.S. EPA did  
10 for -- when they did their Unit Risk Factors for  
11 trichloroacetic acid, and I believe it was -- well,  
12 maybe it was when they did their own perchloroethylene  
13 number. But yes, we didn't do a extensive original  
14 analysis on that point.

15 CHAIRPERSON KLEINMAN: I think this would be a  
16 good time to go through the responses to the public  
17 comments because that may open up some additional  
18 discussion points.

19 --oOo--

20 DR. KLOC: All right. So we received public  
21 comment from four organizations: the Center for  
22 Environmental Oversight, U.S. Department of Defense,  
23 California Chamber of Commerce, and the Halogenated  
24 Solvents Industry Alliance. A total of 44 individual  
25 and compound comments were received and were addressed

1 in our written response.

2 --oOo--

3 DR. KLOC: Several of the criticisms were  
4 shared by the commentators. And since there were so  
5 many for this presentation, we thought that it perhaps  
6 might be just best to try to group them and give you a  
7 general feel for how we addressed the general issues.

8 So there is basically eight -- we identified  
9 what we thought to be eight major issues. And I'll go  
10 through them very quickly and then go through them with  
11 a little bit more detail.

12 So critical comments related to us not  
13 following U.S. EPA methods, criticisms with our use of  
14 PBPK inhalation model, criticisms with use of the NTP  
15 study data. Also, commentators were critical of our use  
16 of rat MCL data.

17 --oOo--

18 DR. KLOC: Criticism was voiced of the total  
19 metabolized dose and the use of multiple tumor types in  
20 doing our calculation. And then the use of the  
21 geometric mean in our final deliberations. And then,  
22 finally, many commentators felt like we needed to do an  
23 extensive uncertainty analysis.

24 --oOo--

25 DR. KLOC: So bear with me. I'll be mostly



1 reading here through the eight issues.

2 So the first issue was we did not follow U.S.  
3 EPA guidelines and we should adopt the U.S. EPA potency  
4 value.

5 So our response was that OEHHA has independent  
6 responsibility under California law to develop potency  
7 values for protecting the health of Californians. Our  
8 potency updates is based on our 2009 cancer guidelines,  
9 the Cancer TSD methodology. And the cancer TSD was  
10 reviewed and approved by this panel.

11 --oOo--

12 DR. KLOC: We also clarified that we agree  
13 with several or some of U.S. EPA methods and, therefore,  
14 some of the portions of our cancer guidelines are quite  
15 consistent with the U.S. EPA guidance. However, U.S.  
16 EPA methodologies is not exactly the same as U.S. EPA,  
17 and where it differs we tend to be more  
18 health-protective.

19 And OEHHA, finally, used currently available  
20 scientific information and developed our estimate that  
21 we believe is consistent with our guidelines, considered  
22 uncertainties in the data and, finally, it is what we  
23 believe to be health-protective.

24 --oOo--

25 DR. KLOC: The second issue was that the PBPK

1 model was not validated and it's unclear whether it  
2 reproduces the Chiu and Ginsberg model results. We  
3 clarified for the commentators that our model extract  
4 uses relevant inhalation equations and modeling  
5 parameter values from the original Chiu and Ginsberg  
6 paper. And that includes the posterior modes, i.e., the  
7 most likely values for the key model parameters, which  
8 were determined by Chiu and Ginsberg through their  
9 Bayesian MCMC simulation.

10 Chiu and Ginsberg equations and input  
11 parameters and model results were peer-reviewed and  
12 validated. Our use of the inhalation-only components of  
13 this model is not a reanalysis of the data.

14 --oOo--

15 DR. KLOC: And we also mentioned that in Table  
16 1 of our draft we presented dose-metric estimates that  
17 were reported by both Chiu and Ginsberg and also  
18 obtained by our inhalation-only model at the level of  
19 significance presented in the table.

20 Based on the concordance of the estimates, we  
21 deemed the inhalation-only model to be adequately  
22 reproducing the original model results.

23 --oOo--

24 DR. KLOC: Issue number 3 was that OEHHA  
25 should not use the National Toxicology Program 1986

1 data.

2           And our response was that different strains  
3 and substrains of rats and mice which were used in the  
4 actual two studies, and generally in the carcinogenic  
5 testing programs, displayed genetic and phenotypic  
6 variation as a result of mechanisms such as genetic  
7 drift.

8           The two rodent cancer models, the Japanese and  
9 the NTP, showed variability with respect to types of  
10 tumor elevated and the strength of the dose-response  
11 relationships. It is unknown whether or not this is due  
12 to genetic variation, but the observation suggests that  
13 the data from each study provides non-redundant  
14 information that's useful to our analysis.

15                               --oOo--

16           DR. KLOC: Issue number 4 is that we should  
17 justify better or not use rat MCL data mainly because  
18 it's not -- the criticism was that it was not a relevant  
19 tumor type in humans and that Fischer 344 rats have a  
20 high background rate of MCL.

21           And in our response we note that our 2009  
22 cancer guidelines do not require us to have tumor  
23 concordance between rodents and humans in order to use  
24 data for dose-response analysis.

25           Our draft also discussed evidence that --

1 notwithstanding that general principle, our draft also  
2 discussed evidence that rat MCL corresponds to at least  
3 one form of human leukemia, which is Large Granular  
4 Lymphocyte Leukemia. And that arises from a lymphocyte  
5 or a monocyte lineage, and the cell or origin appears to  
6 reside or undergo transformation in the spleen.

7 --oOo--

8 DR. KLOC: We also noted that the U.S. EPA and  
9 the National Research Council said that in their  
10 analysis of perchloroethylene, which occurred around  
11 2010/2011 -- they stated: "discounting a rodent  
12 neoplasm simply because it has no human counterpart is  
13 not a scientifically defensible position. Strict site  
14 concordance is not a requirement for relevance in  
15 extrapolation of hazard potential."

16 So U.S. EPA and NRC agree with OEHHA on this  
17 issue.

18 --oOo--

19 DR. KLOC: Finally, regarding the statistical  
20 issues, the Japanese study had a relatively low control  
21 group rate of MCL, which is only about 20 to 22 percent.  
22 And this was actually at the high end of the historical  
23 background rate, which ranges between 6 and 22 percent  
24 for the rat substrain used. So in this case a false  
25 positive result is quite unlikely.

--oOo--

DR. KLOC: The fifth issue was that we should check -- justify better our dose metric or we should not use the GSH conjugation pathway because of the large uncertainty.

And our response was that the precise mechanisms by which PCE causes increased tumor formation are not known. However, oxidation and conjugation of PCE in rodents and humans produce several potentially genotoxic and/or tumorigenic metabolites. Some of these are stable enough to circulate widely throughout the organism. Also, PCE metabolism showed saturation effects in the rodent studies. Thus the metabolized dose is a reasonable choice for the dose metric.

--oOo--

DR. KLOC: And, additionally, those metrics used by U.S. EPA, while they avoid the use of the GSH-conjugation pathway, may be less accurate and are less health-protective than using a total metabolism dose metric.

The PBPK results for the GSH conjugation could actually be due to biological variation within the population, as opposed to modeling uncertainty. And as we had already mentioned in the discussion, some humans are devoid of one or more GST isoforms, which may

1 contribute to a large range of PCE conjugation levels.  
2 And also there is some evidence that long-term PCE  
3 exposure may induce GST metabolism.

4 --oOo--

5 DR. KLOC: And continuing on, on this issue,  
6 there's an additional issue here. There's still  
7 substantial uncertainty regarding the formation of the  
8 reactive alpha, beta-unsaturated sulfoxides that  
9 happened through the GSH-conjugation pathway. And this  
10 actually may be more important in humans than in  
11 rodents. At least some of the initial papers on this  
12 subject indicate such.

13 So including the GST-pathway in a total  
14 dose-metric versus using an oxidation-only dose metric  
15 would increase the PCE cancer potency factor by a factor  
16 of approximately 11-fold.

17 And because population variability and  
18 uncertainty -- sorry. Let me restate that. Population  
19 uncertainty in toxicokinetic uncertainty is properly  
20 addressed by making appropriate health-protective  
21 assumptions in the cancer potency assessment. And  
22 that's consistent with our 2009 cancer risk guidelines.

23 --oOo--

24 DR. KLOC: Finally on this issue, because it  
25 was a big issue, we included significant additional

1 discussion in the document on both the uncertainty of  
2 variation aspects, as well as the total metabolism  
3 dose-metric.

4 Issue number 6.

5 DR. GLANTZ: To that point, I think if you  
6 make the editorial changes to the document -- and,  
7 again, they're not substantive; they're editorial --  
8 that we were talking about earlier, I think that will  
9 further solidify the response to this comment.

10 DR. KLOC: Thank you.

11 --oOo--

12 Issue number 6 was that we should not use  
13 tumor types other than liver tumors in mice.

14 Our response was that the use of data from  
15 multiple tumor types is based on our cancer guidelines,  
16 2009. And a quote from that is: "...for chemicals that  
17 induce tumors at multiple sites, the single-site  
18 approach may underestimate true carcinogenic potential."

19 And in our guidelines we give the example that  
20 the overall assessment of cancer from cigarette smoking  
21 was estimated from all the sites at which the agent  
22 induced the tumors, which included lung, bladder,  
23 leukemia, and others.

24 --oOo--

25 DR. KLOC: In addition, our draft included

1 some sections justifying the use of rat mononuclear cell  
2 leukemia, as well as renal tumors.

3 And again we restate that we do not require  
4 interspecies tumor concordance. And we generally, based  
5 on our guidelines, generally use all tumor types that  
6 appear to be statistically elevated in the exposed  
7 groups.

8 --oOo--

9 DR. KLOC: Issue number 7. We should justify  
10 or not use a geometric mean of multiple potency  
11 estimates. Instead, we should choose a value from a  
12 single tumor type.

13 Our response was that our guidelines do  
14 suggest as a default option the typical method of  
15 identifying the single study that represents the best  
16 estimate of potency. However, it does not prohibit  
17 using alternative methods, for example, in this case,  
18 the geometric mean for deriving potencies.

19 DR. GLANTZ: Yeah. One other point on that is  
20 using that -- using a geometric actually lowered the  
21 estimate, so I don't see what they're complaining about.  
22 I mean, I think if you used the best study you would  
23 have had a higher potency.

24 So of all the comments, that was the one that  
25 befuddled me the most.



--oOo--

DR. KLOC: And in addition, both the Japanese, as well as the National Toxicology Program studies, were deemed to provide acceptable and non-redundant dose-response information suitable for a quantitative estimate of cancer potency. But because some of the higher potency estimates appeared to be more uncertain for various reasons, OEHHA chose the mid-range of the availability values as a best estimate of the overall cancer potency of PCE. But this was still a value that the office judged to be adequate to protect public health.

--oOo--

DR. KLOC: And the final issue. No uncertainty analysis was presented in the document, and we need to provide a comprehensive uncertainty analysis.

In response, we state that we had discussed various uncertainties throughout the first draft and that we added to this in the revised document, covering several of the more important aspects affecting the potency factor derivation.

In addition, we noted that Chiu and Ginsberg provided a detailed quantitative uncertainty analysis in their PBPK modeling paper. And U.S. EPA, in their own derivation of the PC number, provided a range of PCE

1 potency estimates obtained by using various other dose  
2 metrics.

3           OEHHA considered and referenced all of this  
4 information in deriving our potency estimate.

5                           --oOo--

6           Finally, we believe that it is neither  
7 necessary nor desirable to carry out a comprehensive  
8 uncertainty analysis in all cases.

9           And the National Academy of Sciences has  
10 stated on this issue that: "If an uncertainty analysis  
11 will not substantially influence outcomes of importance  
12 to the decision maker, resources should not be expended  
13 on the detailed uncertainty analysis..."

14           So that is basically our set of general  
15 responses to what we believe to be the major categories  
16 of comment.

17           CHAIRPERSON KLEINMAN: Any other comments?

18           I just had one. And it goes back to their  
19 critique of the comparison between the inhalation-only  
20 model that you used versus the full model. And it seems  
21 like -- and I think you actually did some comparison. I  
22 think you mentioned that there was a comparison, and you  
23 did come up and you were able to come very close to the  
24 original value.

25           And it seemed to me that when you look at the

1 total model, the parameters you left out, dermal and  
2 ingestion, which would complicate the mathematics in the  
3 programming, if you included the dermal, which is really  
4 the -- you know, it would have been a relevant route,  
5 you would have done nothing but increase the amount of  
6 material coming in and that would have given you, you  
7 know, an even greater discrepancy compared to the EPA  
8 value.

9           So I think that, you know, there really is  
10 good justification for what you did. I think it's  
11 conservative, and it really deals with the major amount  
12 of exposure.

13           Any others? Kathy.

14           PANEL MEMBER HAMMOND: Following up on that, I  
15 thought about this and then decided not to say it, but  
16 since you brought it up, there have been studies that  
17 have looked -- for instance, putting people in  
18 respirators and chambers exposed to solvents that have  
19 demonstrated there's significant dermal uptake from, you  
20 know, air borne levels and not, you know, just putting a  
21 liquid on the skin.

22           So it's actually -- one could really make the  
23 argument it's not an insignificant exposure that has  
24 been omitted from this. So, again, if there is any  
25 error there, it's being insufficiently protected. In

1 other words, you have underestimated the true exposure  
2 by not including the dermal component because air borne  
3 concentrations can lead to dermal uptake. And that was  
4 removed from the model; right?

5 DR. KLOC: So, for example, let's say that we  
6 used the complete model. We would have put in zero for  
7 all the pathways. We would have just zeroed out the  
8 pathways. The equations would be there, but they would  
9 be set to zero.

10 PANEL MEMBER HAMMOND: I guess what I'm saying  
11 is that they shouldn't be set to zero. There are data  
12 from various Scandinavian studies that show dermal  
13 uptake. You put someone in a chamber with air borne  
14 solvents exposures but with respirators they're not  
15 breathing -- there is no inhalation. The true value of  
16 inhalation is zero. You can demonstrate there's a  
17 dermal uptake from air borne.

18 DR. KLOC: Right. I think it's covered when  
19 you do a risk assessment in the exposure portion of the  
20 assessment.

21 So what we did was we calculated a dose  
22 response for an internal -- for an equivalent internal  
23 dose. And so in the exposure assessment if you added --  
24 if you assume that the individual is inhaling chemical  
25 and also getting some internal dose due to dermal

1 exposure, and if you summed up those two doses, you  
2 could use this -- our slope factor -- I mean our Unit  
3 Risk Factor to calculate the risk, which would  
4 incorporate an addition of that dermal absorption.

5 Now, the potential uncertainty there is  
6 whether or not metabolism from a dermal intake is  
7 equivalent to a metabolism from an inhalation intake.  
8 And it's a good question.

9 PANEL MEMBER HAMMOND: Well, I think the liver  
10 may not be but the kidney would be, and some of it --  
11 yeah. It gets on that complex side.

12 DR. KLOC: But perhaps our other programs  
13 which develop risk factors for other pathways of  
14 exposure might have relevant factors that could be used  
15 in that case. So perhaps an oral intake factor could be  
16 used in place of a specific dermal risk factor. I'm  
17 sorry. I meant to say an oral risk factor, an orally  
18 relevant risk factor could be used for dermal in place  
19 of having knowledge about what the actual dermal Unit  
20 Risk Factor would be.

21 Do my colleagues have any clarifications or  
22 additions?

23 CHAIRPERSON KLEINMAN: And just one other  
24 point. You mentioned that, you know, if you take into  
25 account the conjugation pathways you probably explain

1 about 11 -- you know, a 11-fold part of the discrepancy.  
2 So is the other 2.3 primarily from including the MCL, or  
3 is it -- you know, are there other factors?

4 DR. KLOC: We haven't done an explicit  
5 analysis of that additional 2 or 2.3. I could guess at  
6 a few potential sources. I think, perhaps, just using  
7 the NTP study data, because some of the NTP study data  
8 indicated some more potent values, and also the  
9 summation of tumors in those two cases, that's probably  
10 where most of it comes from.

11 CHAIRPERSON KLEINMAN: I think that the panel  
12 is very supportive of using the multiple-tumor approach  
13 as far as the conjugation pathway; so I don't think, you  
14 know there's any critique, really, in the methodology.  
15 I think what Stan is saying in terms of clarifying, you  
16 know, some of these points by editorial changes would be  
17 very helpful.

18 DR. GLANTZ: So having said all of that, I'd  
19 like to make a motion to approve the document, subject  
20 to these editorial changes. I didn't hear any  
21 substantive criticism at all, and I felt like everybody  
22 felt like you did a good job with the public comments.

23 So I think there will be a little bit of  
24 rewriting to clarify the points that the panel made, but  
25 what I'd like to move is that we approve the document

1 and then delegate -- as the panel has done many times,  
2 delegate to the Chair, you know, to look at it one last  
3 time. And then if the Chair needs to consult with other  
4 panel members, we can, but that way we'll be able to  
5 just move things forward.

6 PANEL MEMBER BUCKPITT: I'd like to second  
7 that.

8 CHAIRPERSON KLEINMAN: All right. Well, we  
9 have a motion. Any discussion on that? Any critique?  
10 Then can we have a vote?

11 Kathy?

12 PANEL MEMBER HAMMOND: Yes.

13 PANEL MEMBER ANASTASIO: Yes.

14 PANEL MEMBER ARAUJO: Yes.

15 PANEL MEMBER BUCKPITT: Yes.

16 DR. GLANTZ: Yes.

17 CHAIRPERSON KLEINMAN: Unanimous.

18 DR. GLANTZ: I wish every report that came  
19 before us was as easy.

20 CHAIRPERSON KLEINMAN: All right. So just to  
21 wrap up then, the panel has, you know, voted approval of  
22 the document with the additional changes to be made.  
23 The Chair will review those to make sure they were  
24 consistent with the panel's comments, and we will get  
25 that information back and turn that around as quickly as

1 possible.

2           So I think on that basis we have fulfilled our  
3 statutory obligation in this matter. And if there are  
4 no other suggestions, then I think I'll ask for a motion  
5 to adjourn.

6           PANEL MEMBER ANASTASIO: Move to adjourn.

7           PANEL MEMBER HAMMOND: Second.

8           CHAIRPERSON KLEINMAN: All in favor?

9           (Ayes.)

10          CHAIRPERSON KLEINMAN: Any opposed?

11          All right. Then I therefore declare this  
12 meeting adjourned. Thank you.

13          DR. KLOC: Thank you.

14          (Thereupon the Air Resources Board Scientific  
15 Review Panel adjourned at 12:00 p.m.)

16                               --oOo--

17

18

19

20

21

22

23

24

25



## REPORTER'S CERTIFICATE

I, Jacqueline Toliver, a Certified Shorthand Reporter for the State of California, do hereby certify:

That I am a disinterested person herein; that the foregoing Air Resources Board Scientific Review Panel on Toxic Air Contaminants hearing was reported in shorthand by me, a duly qualified Certified Shorthand Reporter, and thereafter transcribed into typewritten form by means of computer-aided transcription.

I further certify that I am not of counsel or attorney for any of the parties to said hearing or in any way interested in the outcome of said hearing.

IN WITNESS WHEREOF, I have hereunto set my hand this 11th day of July 2016.

---

JACQUELINE TOLIVER, CSR  
Certified Shorthand Reporter  
License No. 4808