

TELECONFERENCE MEETING  
STATE OF CALIFORNIA  
ENVIRONMENTAL PROTECTION AGENCY  
AIR RESOURCES BOARD  
SCIENTIFIC REVIEW PANEL  
ON TOXIC AIR CONTAMINANTS

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY  
CONFERENCE ROOM 550, 5TH FLOOR CONFERENCE  
1001 I STREET  
SACRAMENTO, CALIFORNIA

UNIVERSITY OF CALIFORNIA, BERKELEY  
UNIVERSITY HALL, ROOM 757, 7TH FLOOR  
ENVIRONMENTAL SCIENCES DIVISION, SCHOOL OF PUBLIC HEALTH  
2199 ADDISON STREET  
BERKELEY, CALIFORNIA

UNIVERSITY OF CALIFORNIA, DAVIS  
DEPARTMENT OF MOLECULAR BIOSCIENCES  
ROOM 1001 VM3B, 1ST FLOOR  
SCHOOL OF VETERINARY MEDICINE  
ONE SHIELDS DRIVE  
DAVIS, CALIFORNIA

UNIVERSITY OF CALIFORNIA, IRVINE  
DEPARTMENT OF MEDICINE, SUITE 100  
100 THEORY  
IRVINE, CALIFORNIA

UNIVERSITY OF CALIFORNIA, LOS ANGELES  
COEH LIBRARY, ROOM 46-060  
FIELDING SCHOOL OF PUBLIC HEALTH  
650 CHARLES E. YOUNG DRIVE SOUTH  
LOS ANGELES, CALIFORNIA

UNIVERSITY OF CALIFORNIA, RIVERSIDE  
BIOLOGICAL SCIENCES, ROOM 3117  
EUCALYPTUS DRIVE  
RIVERSIDE, CALIFORNIA

THURSDAY, AUGUST 20, 2015  
10:12 A.M.

JAMES F. PETERS, CSR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

Michael T. Kleinman, Ph.D., Chairperson(via  
teleconference)

Cort Anastasio, Ph.D.(via teleconference)

Jesús A. Araujo, M.D., Ph.D.(via teleconference)

Alan R. Buckpitt, Ph.D.(via teleconference)

Sarjeet S. Gill, Ph.D.(via teleconference)

S. Katharine Hammond, Ph.D.(via teleconference)

Beate R. Ritz, M.D., Ph.D.(via teleconference)

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Jim Behrmann, Liaison, Scientific Review Panel

Mr. Peter Mathews, SRP Support Administration

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD  
ASSESSMENT:

Dr. Melanie Marty, Assistant Deputy Director, Division of  
Scientific Affairs

Dr. John Budroe, Chief, Air Toxicology Risk Assessment  
Section

Dr. Daryn Dodge, Acting Chief, Air, Epidemiology and Risk  
Assessment

Dr. David Siegel, Chief, Air, Community and Environmental  
Research Branch

I N D E X

PAGE

1. Review of "Toluene Diisocyanate Reference Exposure Levels" - SRP Draft (May 2015) and "Methylene Diphenyl Diisocyanate Reference Exposure Levels" - SRP Draft (May 2015)

From its previous meeting in February 2015, the Panel will continue reviewing the proposed reference exposure levels (RELs) for toluene diisocyanate (TDI) and methylene diphenyl diisocyanate (MDI). These two documents summarize the toxicity and the derivation of the proposed acute, 8-hour, and chronic RELs. RELs are airborne concentrations of a chemical that are not anticipated to result in adverse noncancer health effects for specified exposure durations in the general population, including sensitive subpopulations.

The Office of Environmental Health Hazard Assessment (OEHHA) is required to develop guidelines for conducting health risk assessments under the Air Toxics Hot Spots Program (Health and Safety Code Section 44360 (b)(2)). In response to this statutory requirement, OEHHA adopted in 2008 a Technical Support Document that describes the derivation of acute, 8 hour and chronic noncancer RELs. This guideline has been used to develop the RELs for both TDI and MDI. After the Panel's review the two documents will be finalized and will be added to Appendix D of the Technical Support Document.

3

2. Consideration of administrative matters. 71
- Adjournment 73
- Reporter's Certificate 74

## P R O C E E D I N G S

1  
2 CHAIRPERSON KLEINMAN: Very good. I'd like to  
3 officially call this meeting to order. And we'll get  
4 started.

5 This morning, we have two items that we are going  
6 to be reviewing. And those are the draft documents for  
7 toluene diisocyanate reference exposure levels, and second  
8 is the methylene diphenyl diisocyanate reference exposure  
9 levels.

10 And the lead discussants for those documents were  
11 Sarjeet and Alan Buckpitt -- Sarjeet Gill and Alan  
12 Buckpitt. I think before we actually go into the -- those  
13 topics, we should go around and each member provide their  
14 information. As Peter mentioned before, each Panel Member  
15 should state their name, their location, and affiliation.  
16 And let's start with the people present in Sacramento.  
17 Can we go around the table there, please.

18 DR. DODGE: Okay. Daryn Dodge with OEHHA.

19 DR. SIEGEL: David Siegel with OEHHA.

20 DR. BUDROE: John Budroe, OEHHA.

21 DR. MARTY: Melanie Marty OEHHA.

22 MS. SAKARW: My name is Yuko Sakarw from DTSC.

23 MS. McCarthy: Sherri McCarthy with American  
24 Chemistry Council.

25 MR. WONG: Pat Wong, ARB.

1 PANEL LIAISON BEHRMANN: Jim Behrmann with ARB.

2 MR. MATHEWS: Peter Mathews, Air Resources Board.

3 CHAIRPERSON KLEINMAN: Thank you. And now the  
4 Panel Members. Alan Buckpitt are you on?

5 PANEL MEMBER BUCKPITT: Good morning. Al  
6 Buckpitt Vet Med 3B, UC Davis. And this is Cort Anastasio  
7 in the same location at UC Davis.

8 MS. WONG: Ms. Jeanne Wong, DTSC.

9 CHAIRPERSON KLEINMAN: Okay. Sarjeet.

10 PANEL MEMBER GILL: Yes. Sarjeet Gill at UC  
11 Riverside. Good morning.

12 CHAIRPERSON KLEINMAN: Morning.

13 Beate, are you there?

14 PANEL MEMBER RITZ: Yes. Beate Ritz at UCLA in  
15 the COEH Library on the fourth floor of the Fielding  
16 School of Public Health.

17 CHAIRPERSON KLEINMAN: And is Jesús there as  
18 well?

19 PANEL MEMBER ARAUJO: Yes, Jesús Araujo in the  
20 same location at UCLA.

21 CHAIRPERSON KLEINMAN: And Kathy Hammond?

22 PANEL MEMBER HAMMOND: Kathy Hammond at UC  
23 Berkeley. And I'm in room 757, University Hall. And I'm  
24 joined with two people. Do you want their names also?

25 CHAIRPERSON KLEINMAN: Yes.

1 CAL/EPA DEPUTY SECRETARY SOLOMON: Hi. This is  
2 Gina Solomon with CalEPA here in University Hall with  
3 Kathy Hammond.

4 MR. YANG: This is Jianming Yang from OEHHA.

5 CHAIRPERSON KLEINMAN: Did I miss anybody?

6 Okay. Hearing none. I'd like to go to the first  
7 order of business, and that is our review of the toluene  
8 diisocyanate reference exposure level draft document.  
9 And, Alan, I believe you were the first lead on that  
10 document, so I'll turn this over to you.

11 PANEL MEMBER BUCKPITT: Did we want to hear from  
12 OEHHA? They sent some slides. Did we want to go through  
13 those first?

14 DR. MARTY: Yes, we do.

15 CHAIRPERSON KLEINMAN: I think that would be a  
16 good idea, yeah.

17 DR. BUDROE: Okay. I'd like to introduce Dr.  
18 Daryn Dodge from our Air Toxicology and Risk Assessment  
19 Section. My name is John Budroe the Section Chief of the  
20 section. And he'll be presenting primarily the revisions  
21 to the documents in response to the Scientific Review  
22 Panel comments on the document.

23 DR. DODGE: Okay. This is Daryn Dodge in  
24 Sacramento. So I have the slides in front me, and when I  
25 go on to the next slide, I'll note that. The slide

1 numbers are in the bottom right-hand corner.

2 (Thereupon an overhead presentation was  
3 presented as follows.)

4 DR. DODGE: Okay. What we'll -- that was pretty  
5 much slide 1. Let's go on to slide 2.

6 --o0o--

7 DR. DODGE: Preceding SRP meeting, which was on  
8 February -- in February in 2015, earlier this year. We  
9 presented the draft RELs for toluene diisocyanate. Now  
10 I'll go over TDI first and then MDI following.

11 So for TDI, we presented acute, 8-hour, and  
12 Chronic RELs. The numbers are shown in the slides. These  
13 have not changed since the meeting. The basis of these  
14 RELs have not changed as well. The acute REL is based on  
15 10 to 20 parts per billion LOAEL. This was a chamber  
16 study, in which non-sensitized asthmatic subjects were  
17 exposed to TDI resulting in a few of them having an  
18 increase in airway resistance.

19 The 8-hour and chronic RELs are based on an  
20 occupational exposure study, in which there was an  
21 accelerated -- accelerated lung function decline measured  
22 with -- as FEV1 or forced expiratory volume in one second.

23 Go on to slide number 3.

24 --o0o--

25 MR. MATHEWS: Hello, Mike?

1 CHAIRPERSON KLEINMAN: Yes.

2 MR. MATHEWS: Could you ask all the Panel members  
3 to put their end on mute unless they're contributing to  
4 the meeting, because we're getting echoes coming back  
5 through electrically.

6 CHAIRPERSON KLEINMAN: Okay. I agree with that.  
7 So if you're not speaking at the moment, put your phones  
8 on mute, or your microphones on mute. And just remember  
9 to unmute them when you are going to make a contribution.  
10 Thank you.

11 MR. MATHEWS: Thanks, Mike.

12 DR. DODGE: Okay. This is Daryn again in  
13 Sacramento.

14 Slide number 3, TDI is used in flexible  
15 polyurethane foams, adhesives, and coatings. Global  
16 production capacity of TDI exceeds a million tons per  
17 year. TDI is volatile with a vapor pressure of 0.023  
18 millimeters mercury at around room temperature.

19 Now, I did add a few sentences in there from  
20 studies in which they measured environmental or  
21 occupational levels of vapor versus aerosol form of TDI.  
22 And, in general, we're talking about vapor being about 95  
23 percent with the remainder being in aerosol form. TDI,  
24 along with other diisocyanates are known as one of the  
25 most potent low molecular weight sensitizers.



1           Okay. Let's go on to slide 4.

2                               --o0o--

3           DR. DODGE: The main revision to the document,  
4 which we spent a lot of time with at the last SRP meeting,  
5 I'm just going to state it as a general comment, was  
6 basically we needed to -- we were asked to state more  
7 clearly what adverse effects we are trying to prevent with  
8 these RELs?

9           So with a number of the following slides, I'll be  
10 trying to present the more clearly stated reasons, or how  
11 we were trying to prevent these -- the effects with these  
12 RELs.

13                               --o0o--

14           DR. DODGE: Let's go on to Slide 5. Okay. For  
15 the acute REL, who are we trying to protect? So we  
16 attempted to lay this out more clearly, starting with the  
17 acute adverse affects.

18           Number 1, it's sensory irritation and respiratory  
19 inflammation. Number 2, asthmatic episodes in  
20 non-sensitized asthmatics. Number 3 is we want to  
21 prevent -- or try to protect people from being sensitized  
22 and a resulting induction of TDI asthma with infrequent  
23 acute exposures. And number 4, we would like to protect  
24 individuals from an asthmatic reaction in which they have  
25 already been previously been sensitized by some other

1 source.

2 Slide number 6, next slide.

3 --o0o--

4 DR. DODGE: So I'll be going over these acute  
5 adverse effects one by one starting with sensory/pulmonary  
6 irritation in normal subjects.

7 PANEL MEMBER HAMMOND: Excuse me. This is Kathy  
8 at Berkeley. Do you want to -- for us to weight for  
9 questions or would you like us to ask questions as we go  
10 along?

11 DR. DODGE: This is Daryn in Sacramento. I'm  
12 fine if you want to interject a question or a comment.

13 PANEL MEMBER HAMMOND: Okay. On the who are we  
14 protecting question, my understanding, and when I read the  
15 document, was that you were specifically not trying to do  
16 numbers 3 and 4, because I thought we don't have data  
17 enough for number 3. And number 4, the calculations where  
18 there were too few people to fit into that category, that  
19 the probability of that -- those people being near a hot  
20 spot was extremely low. That was how I read the document.  
21 Did I misread that?

22 DR. DODGE: Well, we'll get into that a little  
23 later.

24 PANEL MEMBER HAMMOND: Okay.

25 DR. DODGE: Those two points.

1           PANEL MEMBER HAMMOND: All right. I can wait. I  
2 can wait.

3           DR. DODGE: Okay. So I'll return to slide number  
4 6 here. In normal subjects, the evidence shows that, you  
5 know, there's an early German study in which a 30-minute  
6 exposure to 20 parts per billion was NOAEL, and at 50  
7 parts per billion was a LOAEL. And this was for sensory  
8 irritation, mainly eye irritation.

9           In a later German study, there's some evidence  
10 that 20 parts per billion for two hours resulted in  
11 similar sensory irritation. And a final study here,  
12 exposure to 5 parts per billion for 6 hours followed by 20  
13 parts per billion for 20 minutes. This essentially  
14 reflected the occupational 8-hour standard of about 5  
15 parts per billion threshold. Twenty parts per billion  
16 being the short-term exposure threshold for occupational  
17 exposure.

18           In this study, there was some borderline effects.  
19 A decrease in specific airway conductance, a decrease in a  
20 maximal expiratory flow at 25 percent forced vital  
21 capacity. There was an increase in bronchoalveolar lavage  
22 albumin level, which I found really quite interesting,  
23 because in animal studies with TDI an increase in protein  
24 level in bronchoalveolar lavage fluid is one of the most  
25 sensitive indicators of change. And this is indicative of

1 a functional impairment at the blood air barrier.

2 There was also borderline effect of increased  
3 bronchial lavage fluid levels of macroglobulin.

4 --o0o--

5 DR. DODGE: Slide number 7. So asthmatic  
6 episodes in non-sensitized asthmatics. This is what the  
7 acute REL is based on. A specific comment from the SRP  
8 was to state -- was to more clearly present the data for  
9 increased sensitivity of asthmatics compared to normal  
10 subjects. Now, this is referring to a series of studies  
11 published by Baur and colleagues.

12 So there's five points. The first two points  
13 here on this slide, and the remaining three on the next  
14 slide, which we try to lay out why we believe this  
15 endpoint can be used as the point of departure for the  
16 acute REL.

17 So number 1, in asthmatics, Baur and colleagues,  
18 they found a significant pulmonary function decrement  
19 measured as a 100 percent or greater increase in airway  
20 resistance. This occurred in 2 of 15 non-sensitized  
21 asthmatic subjects exposed. They also exposed a group of  
22 normal people without asthma. And there was no change in  
23 airway resistance in these normals.

24 Point number two, there was a measured increase  
25 in airway resistance between 50 and 100 percent in 5

1 additional asthmatic subjects in this study.

2           Going on to slide number 8.

3           Point number 3, there's a higher sensitivity of  
4 the responding asthmatics relative to other asthmatics in  
5 the study to nonspecific challenge with acetylcholine.

6           Now, what I mean by this, is before the asthmatic  
7 subjects were actually exposed in a chamber to TDI, there  
8 was an -- there was a measure of how responsive they were  
9 to nonspecific challenge with acetylcholine. Three of  
10 these 15 asthmatic subjects responded at the lowest level  
11 of ACH, which was less than 0.1 milligrams. The others  
12 were above this level.

13           Two of these 3 so-called sensitive nonspecific  
14 asthmatics were the ones that responded to TDI with a  
15 significant increase in airway resistance.

16           Point number 4, Baur and colleagues, they had a  
17 higher total inhalation dose measured as concentration  
18 times time, or exposure duration, used -- compared to most  
19 other studies exposing non-sensitized asthmatics to TDI.  
20 Now, this is in response to a specific comment at the last  
21 meeting in which there was three or so other studies out  
22 there, in which they exposed non-sensitized asthmatics to  
23 TDI, and they got no response.

24           Well, this is possibly because Baur and  
25 associates exposed their asthmatic subjects to a higher



1 the acute REL, we are really only talking about exposures  
2 maybe a few times or several times per year. We're not  
3 talking about consistent daily exposures.

4 Second point, there is no evidence that  
5 infrequent exposures, as low as the proposed REL, will  
6 result in sensitization. The third point here, animal  
7 studies indicate that the threshold for pulmonary  
8 irritation and sensitization are interrelated. In other  
9 words, if you protect the animal from pulmonary  
10 irritation, you are likely also protecting the animal from  
11 sensitization. So we extend this to human exposure as  
12 well.

13 The final point is that the acute REL is  
14 three-fold lower than the NOEL of 0.9 parts per billion  
15 used as the point of departure for the 8-hour and chronic  
16 RELs. This NOAEL for the occupational exposure is based  
17 on an accelerated decrease in pulmonary function, which is  
18 likely related to chronic inflammatory lesion or event.

19 So if we are below this NOAEL with the acute REL,  
20 we should be protecting individuals from inflammation as  
21 well as sensitization.

22 Okay. So going on to slide 10.

23 --o0o--

24 DR. DODGE: Now, can we protect sensitized  
25 individuals with this acute REL? And this is response to

1 specific comments from the SRP is what is the potential  
2 for exposure in individuals already sensitized, and will  
3 the acute REL protect these individuals?

4           So, number 1, OEHHA estimates that roughly 12 to  
5 43 individuals per million may be sensitized to any  
6 particular diisocyanate, including TDI. And the basis for  
7 this -- basis for this is two studies, one in Quebec, in  
8 which there was an estimate made of a number of workers in  
9 the diisocyanate industry and how many of those reported  
10 being coming down with TDI or diisocyanate asthma.

11           The other was the estimate of number of  
12 individuals or workers in a diisocyanate industry in  
13 California or as actually the U.S. as a whole, and  
14 estimate that during their working lifetime roughly 5  
15 percent of these workers will become sensitized and come  
16 down with diisocyanate asthma.

17           So it's a rough estimate, but we're talking only  
18 10 to 40 or 12 to 43 individuals per million in a  
19 population. So this is presented as kind of a risk  
20 estimate.

21           The other point I wanted to make here in number 2  
22 is that in chamber studies to confirm diisocyanate asthma,  
23 they usually start at 5 parts per million. And if they  
24 get no response, then they move up step-wise to 10 and 20  
25 parts per billion. Usually, it's 30-minute exposures or





1 that do respond at very low levels before -- below the  
2 acute REL. But, you know, we state up front that we  
3 cannot -- these RELs are not designed to protect all  
4 hypersensitive individuals.

5           Bullet point 3 here is that the likelihood of  
6 risk of a sensitized individual being exposed to TDI  
7 emissions is very low. We're talking perhaps 10 to 40 in  
8 a million. So taken together, we feel that the acute REL  
9 is acceptable for the purposes of the Hot Spots Program.

10           Okay. Let's move on to slide 12.

11                           --o0o--

12           DR. DODGE: The 8-hour and chronic RELs, the  
13 adverse effects we want to prevent with these RELs.

14           Number 1 is the accelerated lung function  
15 decrements not related to TDI induced asthma. And this is  
16 the basis of the 8-hour and chronic RELs. Number 2,  
17 sensitization and induction of TDI asthma. Number 3, can  
18 it prevent asthmatic reaction in individuals previously  
19 sensitized to TDI?

20           Okay. Let's go on to slide 13.

21                           --o0o--

22           DR. DODGE: So the 8-hour and chronic RELs are  
23 based on a study by Diem et al. It's a five-year  
24 prospective study, one of the best out there. They  
25 measured an accelerate lung function decline in 8-hour

1 time-weighted average. The NOAEL being 0.9 parts per  
2 billion, the LOAEL 1.9 parts per billion.

3 Okay. They also stratified workers by time spent  
4 below or above 20 parts per billion. So those workers  
5 exposed for a total time of 0.19 months or less to 20  
6 parts per billion or more over the five-year period, they  
7 saw no lung function decline.

8 Those workers that spent over 0.9 -- 0.19 months  
9 to 20 parts per billion or more, there was a lung function  
10 decline. So this was sort of a way to get at the question  
11 of short-term high level exposures. This relationship was  
12 not as strong as the time-weighted average, but it was  
13 still a -- significant.

14 Let's -- slide number 14.

15 --o0o--

16 DR. DODGE: Now, the presentation of the workers  
17 that were sensitized or sensitive was -- in this study was  
18 presented in a separate document, a NIOSH report by Weill  
19 et al. And there -- and they conclude that -- or it  
20 includes a study of the 12 sensitive workers.

21 Now, I did change the document to note that they  
22 are -- they were indicated as being sensitive, not  
23 sensitized. This is in response to a comment that came in  
24 from the SRP at the first meeting. They're sensitive  
25 because they did have a decrease in pulmonary function, or

1 they reported that they did, when they were -- the  
2 workers, when they were exposed to TDI but, they hadn't  
3 gone in to be, you know, exposed in a chamber to really  
4 assess whether this is true or not.

5 Weill at al. stratified the jobs by exposure.  
6 High exposure jobs were -- had 6.8 parts per billion.  
7 That was sort of -- that's a time-weighted average,  
8 8-hour, moderate was 3.2, and low exposure jobs were  
9 time-weighted average of 1.6 parts per billion.

10 So based on these job categories, 10 of these  
11 sensitive workers were in the high or moderate exposure  
12 groups, or jobs, 2 of the sensitive workers were in low  
13 exposure jobs. However, 6 of these 12 workers were  
14 exposed to major spills, in which there is high levels of  
15 TDI. And it was unclear which particular job these  
16 workers were in and what the exposure levels were. So  
17 that could have an impact on whether the workers became  
18 sensitive or not.

19 Now, I included in the document some additional  
20 information here, in that 6 of these sensitive workers --  
21 6 of the 12 went on to become part of a larger chamber  
22 exposure study to determine if they were actually had TDI  
23 induced asthma. Two of these 6 workers were determined to  
24 have TDI-induced asthma. And it was inconclusive about  
25 the other four.

1                   --o0o--

2                   DR. DODGE: Slide number 15. Okay. The support  
3 here for the 8-hour and chronic RELs protecting against  
4 sensitization and resulting asthma.

5                   Number 1, the acute, subacute, and subchronic  
6 animal studies indicate that there's a threshold for  
7 pulmonary irritation/inflammation and sensitization are  
8 interrelated, and they fit the C times T model, or the  
9 concentration times time or exposure duration model.

10                  So the idea here is that if you protect the  
11 animal from pulmonary irritation or inflammation, you also  
12 are protecting them against becoming sensitized.

13                  Point number 2. It's known from occupational  
14 studies that reducing exposure reduces the prevalence of  
15 occupational asthma. So if you can get the exposures low  
16 enough in the areas of our 8-hour and chronic RELs, you  
17 should be able to prevent occupational asthma.

18                  Now, there is a caveat here. This is point  
19 number 3. A recent study, and this was added as  
20 a -- as -- in a comment from SRP this particular study, by  
21 Gui et al. 2014, the caveat that this study is that it  
22 shows a low prevalence of symptoms even in a  
23 state-of-the-art facility with very low exposures. So  
24 we're talking levels of 0.5 to 5 parts per billion during  
25 peak hours, generally well below 5 parts per billion.

1           So even in this -- in these -- in this paper that  
2 called this facility a state-of-the-art, because it's --  
3 it was a new facility for manufacturing TDI or TDI  
4 products, they were getting a prevalence of pulmonary  
5 symptoms in a few of the workers. However, our RELs are  
6 considerably below the 0.5 to 5 parts per billion peak  
7 levels that occurred in this facility.

8           Going on to slide 16.

9                           --o0o--

10           DR. DODGE: Now our support that the 8-hour and  
11 chronic REL is protecting against sensitization in asthma.  
12 The specific comment here from the SRP is that uncertainty  
13 factors used to derive RELs appear appropriate, but need  
14 to more -- be clearly stated to present evidence for the  
15 REL derivations. And this was in reference to our  
16 toxicogenomic data, in which I had gone over at the  
17 previous SRP meeting, in which we applied a 10-fold  
18 uncertainty factor for the toxicokinetic, and a 10-fold  
19 for the toxicodynamic, based on the toxicogenomic data,  
20 resulting in a full 100-fold increase or interspecies  
21 uncertainty factor.

22           Now, I really didn't have anything more to add  
23 here in response to this comment, other than that -- this  
24 recent study by Gui et al. seems to suggest that even in  
25 their -- what they called their state-of-the-art facility

1 with low TDI exposures, they're still getting some  
2 prevalence of pulmonary symptoms in a few workers. And  
3 this could possibly be because there's just a wide  
4 variation in response in the human population to TDI.

5 And that in order for us to attempt to predict --  
6 or protect these individuals, we should use the full  
7 100 -- 100-fold uncertainty factor -- interspecies  
8 uncertainty factor.

9 --o0o--

10 DR. DODGE: Slide number 17 now, can we protect  
11 sensitized individuals?

12 Now, this is essentially the same information I  
13 gave for the acute REL. Our estimate -- our --  
14 essentially, our risk estimate that there's only 10 to 40  
15 individuals per million may be sensitized in a population.  
16 The levels used to confirm diisocyanate asthma in chambers  
17 are generally 5 parts per billion, but can be down to 1.  
18 Our lowest -- the lowest published level that resulted in  
19 a response was 0.05 parts per billion. This was actually  
20 for MDI. Our proposed 8-hour and chronic RELs are well  
21 below this level.

22 --o0o--

23 DR. DODGE: So slide number 18, can we protect  
24 sensitized individuals?

25 Well, our conclusion is that our RELs in all

1 likelihood protect sensitized individuals. The RELs are  
2 much lower than the levels used to determine diisocyanate  
3 asthma. However, we note that the RELs cannot be designed  
4 to protect all hypersensitive individuals. Again, this is  
5 in our REL guidance.

6 And the likelihood that a sensitized individual  
7 will be exposed to TDI emissions is very low. We're  
8 talking 10 to 40 in a million. Thus, we believe the RELs  
9 will be -- are acceptable for the purposes of the Hot  
10 Spots Program for these particular effects from TDI.

11 --o0o--

12 DR. DODGE: Slide 19. These are the other  
13 changes to the document in response to comments from the  
14 SRP. We added a list of acronyms at the front of the  
15 document. We added a study that measured emissions of TDI  
16 facility stacks -- from facility stacks, and a  
17 non-occupational exposure study resulting in asthma  
18 symptoms. And this was due to a comment that came in  
19 asking for more information on environmental exposures and  
20 emissions to the environment. And if we didn't find any,  
21 please state that up front.

22 So there's actually very little information out  
23 there, and I did put that in there. But I did find these  
24 two studies and put a short summary of each in there. We  
25 added study summaries on thermal degradation of



1 polyurethane and with the estimated TDI emissions.

2           We added summaries of mechanistic studies that  
3 were recommended for inclusion. We added a summary of a  
4 TDI -- a recent TDI challenge study by Raulf-Heimsoth,  
5 2013, that was recommended for inclusion.

6                               --o0o--

7           DR. DODGE: Slide 20. We added a section on  
8 quantitative analysis methods for airborne TDI. We added  
9 a summary of a TDI occupational study, by Gui et al. I  
10 already mentioned this earlier in another slide that was  
11 recommended for inclusion.

12           We added a summary of a consumer product exposure  
13 study, in which emissions and solvent extraction of TDI  
14 from polyurethane foam was measured. We added more detail  
15 to the study summarized in the toxicogenomic section, and  
16 also stated more clearly what specific diisocyanate the  
17 workers were exposed to in these toxicogenomic studies.

18                               --o0o--

19           DR. DODGE: Slide 21. I moved the information on  
20 TDI pre-polymers into its own section to more clearly  
21 present this information. This new section summarizes the  
22 toxicological studies of TDI pre-polymers. Actually,  
23 there's very little data on the toxicology to these -- of  
24 these TDI pre-polymers, insufficient to determine REL  
25 values.

1           Most exposures are to the TDI monomers, the 2,4  
2 and 2,6 monomers. Thus our hot spots TDI RELs are really  
3 specific for only the TDI monomers, and not the  
4 pre-polymers at this time.

5           So that concludes the TDI document changes. I'd  
6 like to ask the Chairman, at this time, if we should have  
7 comments or if I should go on to the methylene diphenyl  
8 diisocyanate document?

9           CHAIRPERSON KLEINMAN: I think it would be good  
10 to give people a chance to comment on the changes that  
11 you've made. And let's -- I'd like -- yeah, let's let  
12 Alan and Sarjeet sort of lead the discussion on that. And  
13 so I'll leave -- yeah, are there additional comments or --  
14 you know, relevant to the presentation or the changes in  
15 the document?

16           PANEL MEMBER BUCKPITT: I did review the revised  
17 document. As you know, I felt like the first document was  
18 very well put together, but the RELs were well justified.  
19 I had asked for some additions to the document in terms of  
20 mechanisms, some additional references to be added, and  
21 that was done and done well.

22           I think the section on the report pertaining to  
23 release was strengthened again by further additions to the  
24 new literature. I've had a few minor grammatical things  
25 that I'll send to you later. But otherwise, I thought the

1 document really was quite well done. I think it was well  
2 done to begin with, but I think the changes that were made  
3 added to the clarity. And I certainly agree with all of  
4 the essentially RELs that were set and the justifications  
5 for those in the document.

6 I had some additional comments related to the PFA  
7 and the ACC panels, but we can wait on those, if you'd  
8 like.

9 CHAIRPERSON KLEINMAN: Sarjeet, do you have any  
10 additional comments?

11 PANEL MEMBER GILL: Yeah. Actually, I turned off  
12 for a while and I'm back on the phone.

13 I had actually very limited comment on this  
14 section. But I have to say overall the revisions that  
15 were done were much clearer and clearly contributed -- it  
16 makes the document much easier to read.

17 I think the best part, in my opinion, were the  
18 explanations that follow each of the REL derivations at  
19 the front and I think that is good.

20 But a couple of points. I think when you  
21 introduce data from different literature, there is a  
22 tendency to include analysis of the papers in your  
23 summary. And I would -- an example I'll give is -- and  
24 I'm referring to -- actually page 11 of the revised  
25 document where the revisions are actually -- so I have to

1 find which one, because I was reading the document which  
2 had the revisions in it with the underlines. And it's on  
3 page 11.

4           And basically, for example, if you read, for  
5 example, that -- this paragraph is, "Cell culture of A549  
6 cells...", and you on and write a sentence. And you --  
7 then you conclude this study suggests TDI down regulates  
8 expression in airway cell epithelial density. I think  
9 it's always important to actually use some qualification,  
10 in this case, because when people are using cell cultures  
11 and then you're referring to airway epithelial, I think  
12 going from one to the other is not necessarily a valid  
13 judgment to do. So you should change some minor things.  
14 And I can send you the information like on a separate  
15 basis. Okay. That is one.

16           And then the next one also the same thing is, for  
17 example, when you talk about protein kinase NF2 signaling,  
18 then you conclude at the end phrase, which you suggest  
19 which may contribute to the development of airway  
20 inflammation TDI-induced asthma. I think when they're  
21 using -- again, they're using a cell line and then using  
22 an inflammation in vivo, I think that is a very causal  
23 relationship which will be difficult to actually make in  
24 some cases.

25           So be cautious in how you do that. And I'll send

1 you information on this separately. They're only for  
2 minor changes.

3 I don't have any specific changes, except as Alan  
4 has pointed out, it's in response to the reviews from ACC  
5 and the PFA regarding some of those comments, and -- when  
6 we go into a discussion of that.

7 That's all I have on this particular case.

8 CHAIRPERSON KLEINMAN: Thank you.

9 Kathy, did the subsequent discussion clarify the  
10 issues that you were concerned with?

11 PANEL MEMBER HAMMOND: They helped quite a bit.  
12 I still have a little reservation about the protection of  
13 sensitized individuals, because I felt the presentation  
14 also said, and I agree the same as the document, that the  
15 REL -- that sensitized people are too few, and that, you  
16 know, that there are so few people, that the likelihood of  
17 there being near a hot spot is very low. And that since  
18 RELs are specifically not supposed to protect every last  
19 person, and it's not the mandate to protect  
20 hypersensitized people. I agree that the discussion when  
21 the RELs are okay, but I do not think it should be  
22 characterized as protecting sensitized people. And I  
23 think there is a distinction.

24 So for instance, I would not want someone who is  
25 sensitized to think that the REL was sufficient so that

1 they could actually move next to a facility -- next to a  
2 hot spot. You know, so I just think it's how it's  
3 characterized. And now I haven't gone back to -- I don't  
4 remember seeing in the document that characterization that  
5 it protected sensitized people. And I'd have to go back  
6 and reread it for that, but it was just in the slide that  
7 I had seen that.

8 I thought -- I think in the document the  
9 statement was just made that the number of sensitized  
10 people would be very small, so the probability of their  
11 being near a hot spot was infinitesimally small and  
12 therefore we don't have to do to it.

13 So as long as the document doesn't specifically  
14 say we're protecting sensitized people, but rather that  
15 the public health of the State is sufficiently protected,  
16 I would feel okay with that.

17 Does that make any sense or am I being clear?

18 DR. DODGE: That makes sense. This is Daryn in  
19 Sacramento. Thank you, Kathy.

20 PANEL MEMBER HAMMOND: Okay. And then this  
21 second thing I have is very little. It's just a tiny  
22 thing. But there's a reference on page 13 to the OSHA  
23 permissible exposure limit of 20 ppb, and it says but no  
24 8-hour time-weighted exposure limits. What's unclear to  
25 the reader is that that 20 ppb -- then you say what is

1 that? It's a ceiling. I looked it up. Okay. So we  
2 should say that, you know, that that 20 ppb is a ceiling  
3 level, if we're going to reference the OSHA PEL, we have  
4 to say it's a ceiling PEL, which is a level that should  
5 never be reached, even for a few seconds, kind of thing. So  
6 that's what the OSHA PEL is.

7 DR. DODGE: Okay.

8 PANEL MEMBER HAMMOND: And actually, you know,  
9 what's implicit in that, which is interesting, is the  
10 belief by OSHA that this is not a concentration times  
11 time, but rather a threshold that just reaching it can  
12 cause reaction of -- which kind of goes against some of  
13 this presentation. But I think that the OSHA PEL is  
14 older. And I, myself, have some skepticism about the  
15 concentration times time, but I think -- I think the  
16 document is really good. I think you've done a lot of  
17 improvements and I want to thank you all for that.

18 But I would just say that please put the  
19 designation of the OSHA PEL as a ceiling.

20 Other than that, I think -- I'm okay.

21 CHAIRPERSON KLEINMAN: Yeah. I think that's a  
22 very valid point the marking it as a ceiling level. And  
23 that shouldn't be a problem to add to the revised  
24 document.

25 Daryn, does that make sense to you?

1 DR. DODGE: Yeah. This is Daryn. Yes, it does.  
2 Thank you.

3 CHAIRPERSON KLEINMAN: Beate, do have any  
4 comments on this?

5 PANEL MEMBER RITZ: Yes. I think it's a really  
6 well put together document, and I enjoyed reading the  
7 worker health studies. They're very clear. And the  
8 information I was looking for was there.

9 But then as an epidemiologist, I love to go to  
10 tables and just, you know, kind of get an overview of  
11 what's out there from the table. And I struggled a little  
12 bit with Table 14. And I think that could be improved, if  
13 possible beyond what's there. It's -- so what I'm missing  
14 is that in a table in every -- for every study, you  
15 mention how many workers there are, because for some you  
16 do, for others you don't. And also what the mean age or  
17 the age range of those workers were. Because if you're  
18 talking about lung function and decline of lung  
19 function -- if we're talking about workers in the age  
20 range of 20 to 25, that's very different from workers 60  
21 to 65. So I would like to know what kind of worker they  
22 actually had and see that in this table.

23 And I also would like to know what the reference  
24 group was, because one of the important studies that is  
25 cited here, I think it was the Ott study, had 4 percent of



1 the people being low exposed or unexposed from -- in an  
2 epi sense, you know, that's -- that doesn't give you any  
3 power to see anything. So if there's a threshold or even  
4 if there is a dose response, your exposure range may be  
5 too low or the number of unexposed too low to really see  
6 any differences. So it would be important to know how --  
7 you know, what the exposure -- the unexposed group how big  
8 that really was.

9           And finally, there are several mentions in this  
10 table where the annual loss is described as not existent,  
11 but it's unclear to me whether that was a P value that was  
12 not less than 0.05 or whether -- and that might be because  
13 the group of workers tested was too small or the FEV  
14 change is too small to be estimated with the number of  
15 workers, which is likely, or whether that really means  
16 there is no effect estimate difference. So if that could  
17 be made clear by saying no statistically significant  
18 change or something else, I would really like that better.  
19 That's pretty much it.

20           CHAIRPERSON KLEINMAN: Thank you. Daryn, do you  
21 have a response?

22           DR. DODGE: Oh, no, I -- yeah, this is Daryn.  
23 Yeah, I can work with that, and I'll -- I can improve the  
24 tables as Beate suggests.

25           CHAIRPERSON KLEINMAN: Okay. Thank you.

1           Jesús, do you have a comment?

2           PANEL MEMBER ARAUJO: I agree with the previous  
3 comments that this version is very much improved as  
4 compared with the previous one. And I don't really have  
5 any particular observations.

6           CHAIRPERSON KLEINMAN: Thank you. I had just a  
7 few minor grammatical or typographical type things, which  
8 I don't think we have to go into. I did have -- well, I  
9 think it's just a -- possibly a typographical error, and  
10 I'll point it out in the written comment, but it doesn't  
11 change anything in terms of the sense of it.

12           I think overall the Panel comments, I think, are  
13 all quite clear. And I think there should be no problem  
14 in incorporating those. Daryn seems to agree with that,  
15 so why don't we move on to MDI.

16           PANEL MEMBER ANASTASIO: Hey, Mike, this is Cort  
17 at UC Davis.

18           CHAIRPERSON KLEINMAN: Oh, I'm sorry, Cort.

19           PANEL MEMBER ANASTASIO: Yeah, I just have a few  
20 comments.

21           CHAIRPERSON KLEINMAN: Yeah.

22           PANEL MEMBER ANASTASIO: First, I agree with the  
23 other comments that the draft is quite good. I think it's  
24 a nice piece of work. I just have a few small comments.  
25 I'm going from the version that has track changes. So on

1 page three, I think the list of acronyms is great. For  
2 someone who's not toxicologist, this really helped me, and  
3 I would recommend that this be a standard feature of  
4 future RELs.

5           Similarly, I think it would be helpful to have  
6 line numbers on the SRP draft. I know when I'm making  
7 comments, the small typographic errors and things like  
8 that that I sent to Dave, it would be really helpful just  
9 to be able to say line whatever and not have to count it  
10 out myself. So if you guys could have line items, that  
11 would be great.

12           On page five, the last paragraph, third line  
13 down, it says, "The anticipated rapid degradation of  
14 emitted TDI in the atmosphere...". That's true. You  
15 know, the lifetime is on the order of a day, but I would  
16 make it clear that the products could be as toxic as TDI,  
17 or at least make some indication that, you know, TDI  
18 disappears, but it's unclear what the toxicity in the  
19 products are.

20           For example, in the atmosphere, I think that  
21 you'd probably get mostly hydroxylated diisocyanates. And  
22 they may be as toxic as the parent compound. So even  
23 though it's TDI has disappeared, it doesn't mean the  
24 toxicity has disappeared. So I'd make that clear.

25           And then the last one I had is on page 21. This

1 is in Table 2, the at the bottom, the Raulf-Heimsoth  
2 paper. Under pulmonary sensory findings you have no FEV1  
3 decreased greater than 20 percent and no increase in  
4 eosinophils, but that seems to contradict the text on the  
5 previous page, where there was a decrease in FEV1 and  
6 there was an increase in eosinophils. So just ask you to  
7 check those to make sure that it's actually not the  
8 opposite of that.

9 PANEL MEMBER GILL: This is Sarjeet here. I  
10 think there are no FEV1 decreases actually should be on  
11 the Vandenplas study compared to the other one, is that  
12 correct, Daryn?

13 DR. DODGE: I'm sorry, what was that again?

14 PANEL MEMBER GILL: The one that Mike was  
15 referring, the one referring to the no FEV1 decrease which  
16 is greater than critical set, I think that is in the wrong  
17 row. It is -- it should be in the one above, the  
18 Vandenplas study.

19 DR. MARTY: We will check.

20 DR. DODGE: Yeah.

21 PANEL MEMBER GILL: Check it, because I -- this  
22 was when I read two months ago, I highlighted it and moved  
23 it to the row above. And check and see whether that  
24 should be the other one, because that would rectify the  
25 correction that was made just now.

1           PANEL MEMBER ANASTASIO: This is Cort. I mean  
2 that may be true for the Vandenplas study, but it appears  
3 that the Raulf-Heimsoth study showed an FEV1 decrease, as  
4 well as an increase in eosinophils. So for that  
5 particular study, the new study, I would just make sure  
6 you character it from that.

7           DR. DODGE: Yeah. I'm going to have to fix that  
8 entry into Table 2 clearly. Yeah. Okay.

9           PANEL MEMBER ANASTASIO: Yeah, those were my  
10 comments. Thank you.

11          DR. DODGE: All right. Thank you, Cort.

12          PANEL MEMBER GILL: Mike.

13          CHAIRPERSON KLEINMAN: Yeah.

14          PANEL MEMBER GILL: Mike, this is Sarjeet Gill.

15          CHAIRPERSON KLEINMAN: Yeah.

16          PANEL MEMBER GILL: I had one other comment which  
17 comes also with the NPI study, and that is regarding water  
18 vapor -- that water is -- vapor would not destroy the  
19 isocyanates. That I think is probably not correct from a  
20 chemistry point of view. If you put isocyanates with  
21 water probably will definitely be very reactive. So I  
22 think that sentences both with TDI and MDI should be  
23 changed a bit, because it also contradicts some other  
24 statements further -- later in the document.

25                 I have this information more with MDI, but I

1 think it also shows in TDI. I will refer to it a bit more  
2 specifically with MDI, so you can correct that with the  
3 TDI document. It's the same thing, because I think it  
4 conflicts in both cases.

5           PANEL MEMBER ANASTASIO: This is Cort at UC  
6 Davis. I was reading a few of the papers that were cited  
7 in the TDI document. And I thought there was fairly good  
8 evidence that TDI does not react depreciablely with water  
9 vapor. I agree in liquid water, it undergoes hydrolysis,  
10 but I think the water vapor reaction might be slow enough  
11 that it doesn't matter in the atmosphere, specifically for  
12 TDI.

13           DR. DODGE: Yeah. I think the point that I --  
14 this is Daryn in Sacramento. I think the point I wanted  
15 to make with that was that -- and maybe it didn't come out  
16 real clear is that other atmospheric processes break down  
17 TDI faster than the water vapor in the air. But when TDI  
18 is directly injected into liquid water, yeah, there is  
19 going to be -- it is going to breakdown. But in terms of  
20 atmospheric processes, it's -- atmospheric water doesn't  
21 appear to be -- or water vapor doesn't appear to be a real  
22 big player in breaking it down.

23           PANEL MEMBER GILL: Okay. Okay. Well, I think  
24 you should maybe put a couple of sentences -- comments  
25 then I think it would be a little bit easier, but in any

1 case, that's fine.

2 DR. DODGE: Okay.

3 CHAIRPERSON KLEINMAN: If there are no -- are  
4 there any further comments?

5 If not, why don't we move ahead with the MDI  
6 discussion.

7 DR. MARTY: Mike, this is Melanie. I'm wondering  
8 if you guys want to state your approval of the TDI  
9 document before we move on to MDI, or do you want to wait?  
10 It's your call.

11 CHAIRPERSON KLEINMAN: We could discuss that now.  
12 I think with the comments, you know, provided today, and,  
13 you know -- you know, those -- you know, and with the  
14 agreement that those are going to be incorporated into the  
15 revised document, I'd like to ask the Panel to indicate  
16 their approval.

17 PANEL MEMBER ANASTASIO: Mike, can I interrupt  
18 for a second?

19 CHAIRPERSON KLEINMAN: Yes

20 PANEL MEMBER ANASTASIO: But I wonder this would  
21 be a good time to talk about the PFA and ACC comments  
22 before we approve it.

23 MR. MATHEWS: Can you identify yourself?

24 PANEL MEMBER ANASTASIO: Sorry. This is Cort at  
25 UC Davis. We should have some comments about the

1 comments.

2 CHAIRPERSON KLEINMAN: Okay. So on the -- do we  
3 want to -- why don't we do that then. Daryn, do you  
4 have -- you know, do you want to just summarize the  
5 responses to the comments?

6 DR. DODGE: Well, I can briefly state that these  
7 comments came in a little shortly before our -- the  
8 scheduled SRP meeting that was canceled. These comments  
9 were essentially the same ones that came in during the  
10 public review period. So I had -- really, I had already  
11 responded to those comments. There was a few new things  
12 in there, but relatively few. I mean, that's sort of an  
13 overall summary.

14 PANEL MEMBER BUCKPITT: Mike, this is Al  
15 Buckpitt. I went through the comments from both the PFA  
16 and the ACC panels to essentially make sure that the  
17 report had dealt with those comments, and that the -- just  
18 to make sure that the comments didn't have merit. And, in  
19 general, I found that the report dealt quite well with the  
20 comments.

21 You know, we could take the first one from the  
22 PFA panel, they said that TDI reacts with atmospheric  
23 water. Well, really, the papers cited indicated that the  
24 reactions were carried out in water, not in a humid  
25 atmosphere. And I think the report is indeed clear in



1 that case.

2           And I can go through the rest of these things or  
3 I could send them to you, but there are no issues that I  
4 thought were brought up by either ACC or the PFA panel  
5 that I felt were not dealt with adequately in the report.  
6 So we can be more specific if you'd like or I can simply  
7 send these along for the record. But I did take some time  
8 to look at the comments from both panels to make sure that  
9 we were on -- that we considered them and were on solid  
10 ground.

11           CHAIRPERSON KLEINMAN: Thank you, Alan.

12           Cort, does that discussion, you know, satisfy you  
13 that we've, you know, dealt with the comments  
14 appropriately?

15           PANEL MEMBER ANASTASIO: Yes. That works for me.  
16 You know, a lot of the comments were about uncertainty  
17 factors. And I had a difficult time evaluating them. So  
18 I certainly trust Alan and Daryn's judgment on those.

19           CHAIRPERSON KLEINMAN: Okay.

20           PANEL MEMBER GILL: Mike, this is Sarjeet.

21           DR. SIEGEL: And we responded to those. This is  
22 Dave Siegel. We did respond to all those comments.

23           PANEL MEMBER ANASTASIO: Yes.

24           PANEL MEMBER GILL: Mike, this is Sarjeet Gill.

25           CHAIRPERSON KLEINMAN: Yes.

1           PANEL MEMBER GILL: I agree with Alan in the  
2 sense that the responses which OEHHA has done to the --  
3 both the ACC and PFA comments is actually appropriate, and  
4 I do not see any ill concern in any of the responses as  
5 such.

6           CHAIRPERSON KLEINMAN: Thank you. Yeah, I had  
7 looked at them before the last meeting, and my  
8 recollection was that I didn't have any issues with the  
9 way the comments were responded to, so -- then having said  
10 that, I'd like to --

11           PANEL MEMBER BUCKPITT: Mike, this is Al  
12 Buckpitt. Can I jump in for one?

13           CHAIRPERSON KLEINMAN: Yeah.

14           PANEL MEMBER BUCKPITT: I'm a slow reader. I'm  
15 slow in a lot of things, but we had a comment from ACC on  
16 the acute toxicity in children. And the report clearly  
17 states that OEHHA was unable to locate any studies  
18 demonstrating exposures to children. The chemical  
19 similarity of MDI and TDI support the discussion of the  
20 January -- I'm sorry, of the Jan et al. study in the TDI  
21 report. The ACC raises the issue of symptoms being  
22 related to the exposure of xylene. And this is probably a  
23 good point maybe worth mentioning in the report.

24           So that was the only variance that I found  
25 that -- and if you look at, there have been studies

1 showing that some of the solvents including xylene alter  
2 peak expiratory flow in children with asthma. And I cite  
3 there the Delfino study. And I'll send this along. It's  
4 in the Journal of Exposure Analytical Environmental  
5 Epidemiology. It's an old paper. So that's the only  
6 significant comment that I had. Sorry.

7 CHAIRPERSON KLEINMAN: Thank you.

8 Daryn, do you have any response on that?

9 DR. MARTY: Yeah, this is Melanie. So when we're  
10 looking at the section on page 21 in the not strike out,  
11 sorry, Acute Toxicity to Infants and Children, we do say  
12 that some proportion of the eye and respiratory effects  
13 could have been caused by xylene exposure. So we did  
14 agree with the ACC that it was a mixed exposure.

15 PANEL MEMBER BUCKPITT: Right. And I think  
16 that's the right thing to do, Melanie. So I'm perfectly  
17 satisfied with that.

18 PANEL MEMBER ARAUJO: This is Jesús Araujo.  
19 However, their comment is not only that a portion of the  
20 symptoms could have been due to the size and exposure, but  
21 they argue that it was mainly due to size and exposure,  
22 and not to the isocyanate, so -- and they make a, you  
23 know, fairly certain arguments or why is it that they  
24 think it is this way.

25 So what would be your comments? Do you feel

1 confident that we -- in the way how it is presented is  
2 that this is -- the symptoms were mostly due to the  
3 isocyanate exposures and maybe a proportion -- a portion  
4 of those symptoms were due to the xylene, as opposed to  
5 what they're saying, which is exactly the opposite, or try  
6 to have like a position that it goes in the middle, where  
7 that since this was a mixed exposure, it was difficult to  
8 determine what portion of the symptoms were to due one and  
9 what portion of the symptoms were due to the other.

10 DR. MARTY: Well, do you think that our  
11 discussion on page 21 is not adequate. You know, the  
12 question you're asking is pretty darn hard -- I mean, you  
13 can't attribute 60 percent to this, 40 percent to that.  
14 So I think what we did was say, okay, we can't really  
15 tribute a specific proportion of the response to xylene  
16 versus isocyanate.

17 PANEL MEMBER ARAUJO: What I'm saying is that in  
18 the way how it reads is that you presented -- or the  
19 impression that it gives to the reader is that most of the  
20 symptoms are due to the isocyanate exposure, and then you  
21 disclaim at the end, well, but you're not sure whether a  
22 portion of these are due to the other.

23 They presented exactly the opposite. So it's  
24 sort of like how do you present it. If you try to -- you  
25 try to -- you try to make a case more for that symptom and

1 then you make your disclosure that you're not sure, or you  
2 do exactly the opposite, which is what they're doing, as  
3 opposed to just saying up front you just don't know what  
4 is due to what. So you're having all these symptoms and  
5 it could be for either one.

6 But in the way how it reads, it really -- it is  
7 presented as stated it's most likely due to the -- or at  
8 least the impression that I receive. I don't know. If  
9 that is not the impression that other Panel members and --  
10 felt, so maybe just, you know, ignore my comment.

11 DR. SIEGEL: No, we can go back and --

12 DR. MARTY: So, Jesús, I think what we do say is  
13 the authors attributed the effects mostly to MDI. And  
14 then we caveat it by saying, the authors assume the  
15 symptomatology was due to MDI, even though xylenes are  
16 also known to cause acute eye and respiratory symptoms.  
17 Thus, some proportion of the eye and respiratory effects  
18 could have been caused by the xylene exposure. So, you  
19 know, we presented what the author's thought and then we  
20 caveat it with the issue of multiple exposures.

21 DR. DODGE: Yeah. This is Daryn in Sacramento.  
22 That is correct.

23 CHAIRPERSON KLEINMAN: And I think the other  
24 point that's important is that in that paragraph it stated  
25 that it's unclear if children were more prone to the acute

1 effects than adults. So the RELs are not necessarily  
2 stating that there is acute, you know -- an additional  
3 sensitivity for children, which I think is, you know,  
4 germane to this point, that, you know, in this particular  
5 case you couldn't differentiate all of the effects or  
6 assign all the effects to the isocyanate or to xylene or a  
7 combination.

8 DR. MARTY: So, Mike, we do have a section 9.4  
9 where we are indicating we believe that the isocyanates  
10 should be added to the list of toxic air contaminants that  
11 may disproportionately impact infants and children under  
12 Senate Bill 25. And this is -- it's primarily focusing on  
13 asthma as a disease that disproportionately impacts  
14 children, and the potential of TDI to induce or exacerbate  
15 asthma. So we do actually make that recommendation in  
16 this document.

17 It's a different -- slightly different issue than  
18 what you're talk -- what you're bringing up with regard to  
19 this particular paper.

20 DR. SIEGEL: We do cite Jan.

21 DR. DODGE: This is Daryn in Sacramento.  
22 The -- what I was trying to say is that Jan et al. only  
23 looked at children. They did not -- I'm sure there was  
24 some teachers, adults, exposed as well, but they didn't  
25 look at those. They were concentrating on the children,

1 so we don't know what happened in adults that were  
2 exposed. That's -- that was the point I was going to -- I  
3 was trying to get across with the Jan et al. paper.

4 PANEL MEMBER ARAUJO: This is Jesús from -- at  
5 UCLA. Yeah, I think that it's an important point, and  
6 to -- really to make sure we do agree, because it is  
7 exactly the point of the American Chemistry Council in  
8 that they're arguing that there should not be really any  
9 increase in sensitivity attributed to the children. And I  
10 think that there is a fundamental biology aspect that  
11 where they are basing this on.

12 And the way how the document is presented is that  
13 the isocyanate is induced in like a Th2 response, and that  
14 the children tend to have like a higher Th to responses  
15 than the adults. And this is why we're arguing that the  
16 children -- that this should be really -- weighed heavier  
17 in children than in adults.

18 But they are arguing that this is not the case.  
19 They're arguing that the isocyanate is more -- the asthma  
20 induced by isocyanate is more like a Th1 response, and  
21 therefore -- and that it should be calculated the opposite  
22 of what we're arguing in -- or we're presenting in the  
23 document.

24 From what I read, I don't really understand their  
25 claim, I have to say, because I think from the bulk of the

1 literature that I've read that it is mostly like a Th2.  
2 And there is some animal data where they talk about a Th1,  
3 a large Th2, but I don't really see the data that they're  
4 using to say that this is mostly like a Th1 response.

5 But if what they're saying is true, I think that  
6 they have a point. What if your feeling -- you know, you  
7 have read the literature a lot more than me, especially on  
8 this issue whether the isocyanates are inducing responses  
9 that are either Th1 or Th2.

10 DR. DODGE: This is Daryn in Sacramento. We did  
11 respond to this pretty extensively during the public  
12 comment period. Essentially, you know, it's a mixed  
13 response, Th1, Th2, and for TDI asthma. It's not only  
14 specifically a Th1 type of response. Of course, atopic  
15 asthma adult -- or the childhood asthma onset that's  
16 mostly Th2, but that also can be mixed, depending on  
17 certain things that can happen.

18 And I tried to present that in the document. But  
19 in response to the comments, the public comments, I went  
20 into it much more extensively.

21 DR. MARTY: The other issue here too is -- this  
22 is Melanie -- is that in the Hot Spots Program, we laid  
23 out reasons why -- in a document in 2001, why we would be  
24 concerned about a toxic air contaminant disproportionately  
25 impacting children. And one of the reasons is not just



1 induction of asthma, it's also exacerbation of asthma,  
2 because children especially 0 to 4 have higher prevalence  
3 rates than older children and adults. They're  
4 hospitalized more often.

5           So just the ability of TDI to exacerbate existing  
6 asthma is a reason to list it as a toxic air contaminant  
7 that disproportionately impacts kids, no matter what  
8 argument you want to make about whether it induces asthma  
9 in children. And like Daryn said, we had pretty extensive  
10 responses to that issue in the response to comments.

11           CHAIRPERSON KLEINMAN: This is Mike. Just  
12 looking at that paragraph, the last part of the paragraph  
13 on page 82, the way it's phrased to me says that because  
14 children have a higher prevalence of asthma, they will  
15 have -- and rapid development of lung during infancy,  
16 which, you know, is important, but they will have a  
17 higher -- you know, there will be more children with  
18 asthma, you know, proportional to the adult population  
19 that might be exposed.

20           So whether they're more sensitive than the adults  
21 is part of the issue, but the other issue is that there's  
22 a higher likelihood of being exposed, which I think is the  
23 way -- you know, from the way this sentence is phrased I  
24 think that was one of the driving factors.

25           So I don't think we're saying that, as Melanie

1 said, that we are saying that there's more causation of  
2 new asthma, but because of the increased prevalence in the  
3 children population, they will have a higher likelihood of  
4 being exposed, and therefore that makes them a sensitive  
5 subgroup, because they're already susceptible. Is that --

6 DR. MARTY: Yeah, they have a higher likelihood  
7 of responding. So, you know, it fits with what we've been  
8 doing since 2001. If we have a chemical that exacerbates  
9 asthma, we view it as disproportionately impacting  
10 children, because asthma disproportionately impacts  
11 children, so -- and in this case, we have some reason to  
12 believe that you could have induction of asthma in  
13 children, despite the ACC's arguments that they think that  
14 it's Th1 not Th2. We didn't actually buy that argument,  
15 if you look at our responses to comments, which you guys  
16 did look at, but it was in the first meeting in February.

17 PANEL MEMBER GILL: Mike, this is Sarjeet here.  
18 This regarding Th1 and Th2 responses, it is not as clear  
19 cut as actually the document already -- and I think I  
20 looked at it, and it talked about in terms of differences  
21 between obese children and children, there's a slightly  
22 different response in Th2, Th1 responses.

23 And if anyone is familiar with immunology,  
24 there's is no so clear-cut definition of Th1, Th2 response  
25 to any particular agent, you see. So I'm not surprised

1 that you would see a response from one versus the other,  
2 and it's not as clear cut as the ACC puts it. That would  
3 be very surprising.

4 And secondly, if you look at slide 35, I think  
5 OEHHA has already made some comments regarding why they  
6 view that they still put that particular data in there,  
7 because I assume the exposure occurred after the track was  
8 sprayed, and so that exposure was not occurring while the  
9 spraying was applied.

10 So if that's the case, then I think it becomes  
11 less of an issue compared to that, you know, just on the  
12 track, which is MDI itself. Am I correct, Daryn.

13 DR. DODGE: Yeah, I think you're correct. Yeah.

14 PANEL MEMBER GILL: That's how I read your  
15 response on 35 when you also talk about differences in  
16 toxicity. And although they're similar -- although the  
17 toxicity is -- clearly toxicity is lower, and so therefore  
18 you consider that still a significant study to include it  
19 in the document. And I think having that study in the  
20 document is good. And the way you qualified it in the way  
21 that the authors assume all symptomology, that's not what  
22 you are saying. I think that's a valid statement.

23 And then you used some proportion. Although, I  
24 would change the word "some" to "a" proportion of the eye  
25 and respiratory effects could have been caused by xylene,

1 because some you tend to quantify it as if you're going to  
2 stay. You will not quantify what response there is.  
3 That's all.

4 DR. DODGE: Okay.

5 CHAIRPERSON KLEINMAN: This is Mike. Does anyone  
6 else on the Panel want to comment on the comments or  
7 response to the comments, or is anybody, at this point,  
8 uncomfortable with the adequacy of the responses that  
9 OEHHA made?

10 Okay. Hearing none.

11 PANEL MEMBER GILL: I'm --

12 CHAIRPERSON KLEINMAN: Yep, go ahead.

13 PANEL MEMBER GILL: I'm fine. Sarjeet Gill.

14 CHAIRPERSON KLEINMAN: Okay. Thank you.

15 If no one is uncomfortable, you know, or if no  
16 one feels that we've not dealt with the comments  
17 appropriately, then let's return to the question of  
18 add -- with all of the caveats and comments that were made  
19 today, are we prepared to say that the Panel approves the  
20 REL and the -- you know, the document for the REL?

21 PANEL MEMBER BUCKPITT: Yeah, maybe Mike -- this  
22 is Al Buckpitt -- I could make a motion to approve the  
23 document with the minor changes that have been discussed  
24 this morning.

25 CHAIRPERSON KLEINMAN: Thank you.

1 Do we have a second?

2 PANEL MEMBER GILL: Second, Sarjeet Gill.

3 CHAIRPERSON KLEINMAN: Excellent. I guess we'll  
4 have to do this as a roll call vote, since I can't do a  
5 show of hands.

6 So, Alan?

7 PANEL MEMBER BUCKPITT: I'm raising my hand.  
8 This is Buckpitt, yes.

9 (Laughter.)

10 CHAIRPERSON KLEINMAN: Sarjeet?

11 PANEL MEMBER GILL: Yes.

12 CHAIRPERSON KLEINMAN: Kathy?

13 PANEL MEMBER HAMMOND: Yes.

14 CHAIRPERSON KLEINMAN: Beate?

15 PANEL MEMBER RITZ: Yes.

16 CHAIRPERSON KLEINMAN: Jesús?

17 PANEL MEMBER ARAUJO: Yes.

18 CHAIRPERSON KLEINMAN: Cort?

19 PANEL MEMBER ANASTASIO: Yes.

20 CHAIRPERSON KLEINMAN: And I vote yes as well.

21 So I believe we have the unanimous approval.

22 Excellent. Thank you very much.

23 So shall we move on to the MDI document?

24 DR. DODGE: Okay. This is Daryn in Sacramento.

25 I'll go on with methylene diphenyl diisocyanate, or MDI

1 starting on slide 22.

2           Okay. At the preceding SRP meeting in February,  
3 we presented the draft RELs for MDI. Now, these numbers  
4 have not changed since the first meeting, and the basis  
5 for the RELs have not changed.

6           Unlike TDI, MDI -- the MID RELs rely on animal  
7 data. And this is because none of the human information  
8 was adequate enough to base a REL on, only a best  
9 supporting.

10           The acute REL was based on a LOAEL finding of  
11 increased total protein in bronchoalveolar lavage fluid in  
12 rats. The 8-hour REL is based on a benchmark dose  
13 analysis for polymeric MDI. The finding was a  
14 bronchiolo-alveolar hyperplasia in rats. And the chronic  
15 REL was based on a separate chronic exposure study in  
16 monomeric MDI, in which interstitial fibrosis was seen at  
17 the lowest concentration.

18           Going on to slide 23, methylene diphenyl  
19 diisocyanate, or MDI. It's -- this one is semi-volatile.  
20 MDI and polymeric MDI, which I'll refer to as PMDI, are  
21 used mainly in rigid polyurethane foams. MDI and PMDI  
22 have essentially the same toxicological potencies and  
23 endpoints. So the RELs are going to be relevant to both.

24           And the basis for this conclusion is the  
25 chronic -- in particular, it's the chronic -- the two

1 chronic animal exposure studies, one with MDI and one with  
2 PMDI.

3           Slide 24. So just like for TDI, the general  
4 comment, SRP comment, was to state more clearly the  
5 adverse effects we are trying to prevent in a potentially  
6 exposed population. So overall, the information is going  
7 to be much the same here, or the presentation is much the  
8 same.

9           Slide 25. Now, since we're relying on animal  
10 studies, I'm not going to go over the information on the  
11 pulmonary inflammatory effects. I'm going to try and  
12 combine both here to keep things moving. Go straight to  
13 sensitization and induction of MDI asthma and the evidence  
14 we have that our RELs should not result in sensitization.

15           So in the MDI studies, the animal studies, we  
16 have acute, subacute, and subchronic studies that indicate  
17 the threshold for pulmonary irritation/inflammation and  
18 sensitization are interrelated and fit the C times T  
19 model.

20           This is largely based on a number of studies by  
21 Pauluhn, in which you stay below the threshold resulting  
22 in pulmonary inflammation. You're also going to protect  
23 the animal from being sensitized.

24           Point number 2 is that it's known that from  
25 occupational studies, human occupational studies, that

1 reducing exposure will reduce the prevalence of  
2 occupational asthma, and that we get the RELs low enough,  
3 we should not see any occupational asthma, or very little.

4           And the third point is that the toxicogenomic  
5 data suggests a large variation in response in the human  
6 population. This is why, again, we use the 100-fold  
7 intraspecies uncertainty factor.

8           Slide 26. Can we predict -- protect sensitized  
9 individuals or can we protect public health, I should say,  
10 from individuals that have already been sensitized, you  
11 know -- you know, protect them from these RELs?

12           So the SRP comments were essentially the same as  
13 for TDI, what is the potential for exposure in individuals  
14 already sensitized, and will they protect individuals or,  
15 I should probably interject that we're going to say public  
16 health?

17           Again, it's -- the rough estimate is the same, 10  
18 to 23 -- I'm sorry, 10 to 40 or 12 to 43 individuals per  
19 million may be sensitized to any particular diisocyanate.  
20 And this induce MDI, TDI, and other related  
21 polyisocyanates.

22           Most chamber studies for MDI also start at 5  
23 parts per billion and move up to 10 and 20 if there's no  
24 response at 5. This is to confirm diisocyanate asthma.  
25 Again, with MDI, there's a few studies, where we have



1 exposures as low as 1 part per billion, resulting in a  
2 sensitized individual responding. And the lowest reported  
3 is 0.05 parts per billion, in which a sensitized  
4 individual had an asthmatic response. So this lowest  
5 reported in the literature is below our acute REL or MDI.

6 So going on to slide 27, the conclusions. Can we  
7 protect from sensitization? It's the same as for TDI.  
8 RELs are lower than exposures used to confirm diisocyanate  
9 asthma, at least the 8-hour and chronic lower -- you know,  
10 they're lower than the lowest reported concentration  
11 eliciting a response. Again, our RELs cannot be designed  
12 to protect all hypersensitive individuals, as written in  
13 our REL guidance.

14 The risks. The likelihood of risk of a  
15 sensitized individual being exposed to MDI emissions are  
16 very low. Hence, our -- we expect our MDI RELs to be  
17 acceptable for the purposes of our Hot Spots Program.

18 At slide 28, these are the other changes to the  
19 document in response to comments from the last SRP  
20 meeting. We also added a list of acronyms at the front of  
21 the document. We included more details on sampling and  
22 analysis techniques for both vapor and aerosol phase,  
23 since you'll have exposure to both with MDI.

24 We added a NIOSH non-occupational exposure study  
25 based on the comment for more environmental exposure

1 studies and more environmental release studies.

2 We added study summaries on thermal degradation  
3 of products made with TDI -- I'm sorry, MDI, highlighting  
4 those studies that had estimated MDI emissions resulting  
5 from thermal degradation.

6 We added summaries of mechanistic studies that  
7 were recommended for inclusion. We also added summaries  
8 of DNA adducts studies. We did this for TDI as well.

9 Slide 29. We included more detail for studies  
10 summarized in the toxicogenomic section and more clearly  
11 stated what diisocyanate the workers were exposed to for  
12 each toxicogenomic study. And we also added a study by  
13 Choi that was in the TDI document, but not in the MDI  
14 document.

15 Slide 30, other changes to the document. This is  
16 in response to an SRP comment to explain the high  
17 background level of pulmonary fibrosis in rats from the  
18 Hoymann et al. chronic study versus the Reuzel et al.  
19 chronic study. If you recall, Hoymann et al., the control  
20 rats had quite a bit higher level of fibrosis compared to  
21 Reuzel -- the Reuzel study, which was -- the background  
22 level was fairly low.

23 So I looked into this and found a couple of  
24 references, which looked at the aging rat pulmonary  
25 pathology. And what they found is that in aging rats,

1 they do develop pulmonary fibrosis, and it could vary  
2 depending on the strain of the rat.

3 Now, in the two chronic studies on MDI, Hoymann  
4 and Reuzel, they both use Wistar rats in their studies.  
5 However, they were from different colonies. So even  
6 within different colonies of the same strain, there  
7 appears to be differences in fibrosis or the level of  
8 fibrosis in the lung as the animals get very old.

9 And support for this is -- can be shown that, you  
10 know, even though we're talking about two different  
11 colonies of Wistar rats here, the Hoymann rats did not  
12 live as long. They had a greater -- they had a greater  
13 amount of spontaneous tumors occurring earlier compared to  
14 Reuzel.

15 So even though we're talking about the same  
16 strain, there was differences here in regard to  
17 spontaneous tumors, so why can't there be differences in  
18 the level of fibrosis in the lung?

19 Okay. And that's what I have for MDI.

20 Any comments?

21 CHAIRPERSON KLEINMAN: Sarjeet, you were one of  
22 the leads on this.

23 PANEL MEMBER GILL: Yeah, that -- just like the  
24 TDI document, it was -- the changes that were made made  
25 the document much easier to read. So once again the

1 acronyms are quite useful. There are some still missing.  
2 For example, MBDL should be added, because -- and there's  
3 a section I have to actually refer it back to again, so  
4 that would be useful to add.

5 DR. DODGE: What acronym was that? I'm sorry,  
6 Sarjeet. This is Daryn. What acronym was that.

7 PANEL MEMBER GILL: BMDL.

8 DR. DODGE: Oh. Okay. Benchmark dose. All  
9 right.

10 PANEL MEMBER GILL: Those limits Yeah, because  
11 that's in one of the graphs. That's why I think we missed  
12 it, because of the double level. I think you should add  
13 it, besides the purpose.

14 The other question I have is do you have any  
15 studies looking at dermal exposure to MDI?

16 DR. DODGE: Yes, there are a number of studies  
17 out there looking at dermal exposure, yeah.

18 PANEL MEMBER GILL: Okay. Because on page seven  
19 you -- I think you should provide the citation for the  
20 statement, "Occupational occurs through inhalation of  
21 vapors and aerosols and through dermal contact with  
22 compounds containing MDI". It would be -- this is a  
23 statement you make. It would be nice to have references  
24 after that citation, that particular fact itself.

25 DR. DODGE: Okay.

1           PANEL MEMBER GILL: Okay. And on page 9, you  
2 make a statement, "As described above, MDI reacts with GSH  
3 in lung fluid that can then be absorbed into the  
4 bloodstream". It's this later phrase, "...that can then  
5 be absorbed into the blood stream". I went through the  
6 paper. I did not see any evidence that it a GSH conjugate  
7 is the one that goes in.

8           If that's the case, then I think you should just  
9 change the phrase to give some uncertainty, rather than  
10 saying that, "can", you can use that, "could", or some  
11 other phrase that you -- so that it could be a bit  
12 more -- unless you have strong evidence that it is  
13 transported as a conjugate, which I doubt, but then I  
14 think it would be best to add some word of -- instead of  
15 "can", I would change it to "could". Okay.

16           DR. DODGE: Okay.

17           PANEL MEMBER GILL: And the other one is in terms  
18 of you indicated on page 9 also that MDI is a absorbed as  
19 an MDI-albumin conjugate, which goes through  
20 transcarbamylation, and that's the Wisnewski study, if  
21 I'm not mistaken.

22           There's also some indication that that's not the  
23 only protein that is conjugated with MDI, at least not  
24 with TDI. I could find with MDI. It also goes with  
25 hemoglobin. And so I think you should check on it. If

1 that's the case, you should just add a reference that it's  
2 also MDI hemoglobin, and MDI conjugate -- albumin  
3 conjugates.

4 DR. DODGE: Okay.

5 PANEL MEMBER GILL: Just check on that.

6 DR. DODGE: All right.

7 PANEL MEMBER GILL: On page 11, this is something  
8 I referred to earlier in TDI, but I did not have the exact  
9 page number. This is on page 11 of the corrected version,  
10 not the track changes version. And this is on page 11.  
11 Let me look at it. Just hold on.

12 On page 11 in the first paragraph, last sentence,  
13 where you -- the last phrase you basically say, "... which  
14 may contribute to the development of airway inflammation  
15 of TDI-induced asthma". This is the outcome on the paper  
16 itself, but I think this is a conclusion which I would not  
17 agree with, in the sense it was mostly done with cells.  
18 And then you're trying to do it -- this in vivo.

19 I would split the sentence that -- you can leave  
20 that confusion, but split that into a separate sentence.  
21 So would you say the last phrase, which I think is a bit  
22 speculative. You could just change it to, "...NrF2  
23 signaling pathway have been shown to conjugate to  
24 inflammation". That is true. And I don't know whether  
25 it's to airway inflammation, because NrF2 signaling does

1 contribute to inflammation. So just after that sentence  
2 two separate conclusions, but I'll leave it as that.

3 Then on page 13, you deleted the study by  
4 Vangronsveld in 2013, but you added the study by Hoffmann  
5 and Schupp in 2009, am I correct?

6 DR. DODGE: I'm still trying to get to that page.

7 DR. SIEGEL: Which study was that deleted?

8 PANEL MEMBER GILL: Vangronsveld. If you look at  
9 the tracked indices, you'll see that.

10 DR. DODGE: So this was on page 30.

11 PANEL MEMBER GILL: Page 30 of the final draft.  
12 By the track indices, I know what page it is.

13 DR. DODGE: Yeah, I'm looking at what I had  
14 changed.

15 PANEL MEMBER GILL: But I don't know why -- what  
16 is the rationale for the deletion, because I think both  
17 studies could be actually done -- left in there.

18 DR. DODGE: Okay. Could that have been -- could  
19 that have been because it was only referring to TDI, this  
20 study?

21 PANEL MEMBER GILL: Well, this -- I put that in,  
22 but I -- let me see what it says.

23 DR. DODGE: I was trying to look at my  
24 previous -- or my strike-out version.

25 DR. SIEGEL: Here it is. Here.

1 PANEL MEMBER GILL: On page 31. That's on --

2 DR. SIEGEL: Here it is, yeah.

3 PANEL MEMBER GILL: That was TDI, I believe.

4 DR. DODGE: Yeah, I think I struck it out because  
5 it was only talking about TDI, and I found a paper -- a  
6 related paper that looked at MDI.

7 PANEL MEMBER GILL: Okay. Okay. So that's fine.  
8 That's the rationale you had for that.

9 DR. DODGE: Yeah, I think that was it, yeah.

10 PANEL MEMBER GILL: Okay. On page 47, there is a  
11 sentence, the last paragraph, the first sentence.

12 DR. SIEGEL: Is this of the strike-out, which --

13 PANEL MEMBER GILL: Showing supporting data.

14 DR. SIEGEL: Which document?

15 DR. MARTY: It's the accepted changes.

16 DR. SIEGEL: Accepted. Okay. Strong, what?

17 DR. DODGE: What section is it?

18 PANEL MEMBER GILL: Section 8, page 47.

19 DR. SIEGEL: Here. It starts -- the paragraph  
20 starts with, "Strong"?

21 PANEL MEMBER GILL: Paragraph starting with  
22 "Strong supporting data...". That first sentence I had to  
23 read it three times to understand what you were saying. I  
24 know what you're saying now, but if I read it I think it  
25 would be a bit difficult. It's a run-on sentence, and you



1 may want to rewrite it.

2 DR. DODGE: Yeah, you're right. Okay. That is  
3 a -- that should be cleaned up.

4 PANEL MEMBER GILL: Because, I mean, it -- all  
5 the facts are correct, but it's just a run-on sentence.

6 DR. DODGE: Um-hmm.

7 PANEL MEMBER GILL: And a similar thing happens  
8 on page 48. Let me see where on page 48. It starts with,  
9 "The pulmonary irritation-sensitization threshold...".  
10 Yeah, that same sentence, first paragraph -- second  
11 paragraph sentence.

12 DR. DODGE: Yeah.

13 PANEL MEMBER GILL: It is also a very long run-on  
14 sentence. Just split it up. So I would split it up after  
15 "animal models", and put a period and say, "This assumes  
16 the peptides and proteins...". Then it seems fine.

17 DR. DODGE: Okay.

18 PANEL MEMBER GILL: And on page 48, I think you  
19 had said that it is PMDI, I think it is TDI somewhere,  
20 under the Feron study.

21 DR. DODGE: The wrong diisocyanate.

22 PANEL MEMBER GILL: Yeah.

23 DR. DODGE: Okay.

24 PANEL MEMBER GILL: I assume it is MDI instead of  
25 PMDI that study. Sorry, on page 51. Sorry. On page 51

1 the second paragraph. Got it?

2 DR. DODGE: Yeah.

3 PANEL MEMBER GILL: Well, that's all I have  
4 actually. And the other comments that I had were  
5 regarding to the ACC and PFA. That's all I have, Mike.

6 CHAIRPERSON KLEINMAN: Okay. Thank you. Alan,  
7 do you have any comments?

8 PANEL MEMBER BUCKPITT: I can't add a lot to  
9 that, Mike. I've got a few minor things that I'll pass on  
10 to Daryn, you know, typos and that sort of thing, but  
11 otherwise I thought again the document initially was well  
12 written. I think the changes that have been added really  
13 are great. So I don't have a lot to discuss here.

14 I do have some things -- the responses to the  
15 American Chemical Council, but we can, or we can go  
16 through that later.

17 CHAIRPERSON KLEINMAN: Okay. Thank you. Going  
18 around the rest of the Panel. Kathy, do you have any  
19 comments?

20 PANEL MEMBER HAMMOND: No, thank you.

21 CHAIRPERSON KLEINMAN: Beate?

22 PANEL MEMBER RITZ: This is Beate. As an  
23 epidemiologist, I was just fascinated by the comparison  
24 between the TDI and MDI reference levels being so  
25 different when you base it on humans versus animal

1 studies, it seems. So we are an order of magnitude higher  
2 here basing it on animal studies, which I'm a little  
3 surprised by, but that's just me. And I understand there  
4 aren't enough human studies to base this on. It just  
5 surprised me a bit.

6 CHAIRPERSON KLEINMAN: Thank you, Beate.  
7 Jesús.

8 PANEL MEMBER ARAUJO: Yes. Jesús at UCLA.

9 I also think that this document it was improved.  
10 And I have a relatively small comment in terms -- in  
11 relation to an addition that it was added to the document.  
12 They included a reference from -- the study from Kim et  
13 al. in 2010. And with a, you know, pretty long-hand  
14 description of the findings.

15 But I think that there is a portion that it may  
16 be misinterpreted, but maybe just go and mention exactly  
17 what is -- that is in the -- okay, yeah. So it's in page  
18 10. At the bottom of page 10, that they say or it says,  
19 "Finally, Kim et al. (2010) investigated the transcription  
20 factor Nrf2. The expression of several antioxidant  
21 proteins is regulated by Nrf2 by binding the antioxidant  
22 response element (ARE) in the promoter of target genes".  
23 This is correct.

24 They continue, "TDI did not change the total  
25 level of Nrf2, but suppress the binding of Nrf2 to ARE

1 region of HO-1 promoter". And then it continues the  
2 paragraph. "TDI also suppressed nuclear translocation of  
3 Nrf1 through suppression of phosphorylation of  
4 mitogen-activated protein kinase...".

5           The way how we it reads is as if somehow TDI  
6 blocks that binding of the thing in the nucleus to the  
7 promoter region of HO-1. That's exactly what it's saying.  
8 And I know why they put it this way, because this is  
9 exactly also how what's written in the publication, but  
10 the publication presented more data that when you read it  
11 altogether, it was clear that the reason why there was  
12 decreased binding is because of what they're arguing  
13 after. There was no decrease in the total levels of the  
14 protein Nrf2 in the cells, because the decrease in the  
15 translocation -- in the decrease of translocation of the  
16 Nrf2 from the cell to the nucleus. Therefore, there was  
17 an increase of the Nrf2 in the cell and a decreases  
18 nucleus.

19           So when they did and as assay issues to measure  
20 on the binding of the Nrf2 to the heme oxygenaseone in the  
21 cells, that binding was decreased. But the reason why it  
22 was decreased is because it was less protein in the  
23 nucleus. So obviously, there is going to be less binding,  
24 but now because it is a specific binding of the region,  
25 which is the way how it is read.

1           So I would just suggest to change this and say  
2 maybe alter the sequence of how they present this fact.  
3 TDI did not change the total level of Nrf2 period. It  
4 suppressed nuclear translocation of Nrf2 through  
5 suppression of phosphorylation mitogen-activated protein  
6 kinases, therefore, suppressing the binding of Nrf2 to the  
7 ARE region of HO-1 promoter. That is one suggestion.

8           Another suggestion, if you don't like it this  
9 way, would be to just omit the whole sentence where it  
10 says but it did suppress the binding Nrf2 to the ARE  
11 region of the HO-1 promoter.

12           DR. DODGE: Okay.

13           PANEL MEMBER ARAUJO: And I think that this is  
14 really, you know, the only comment that led to a change  
15 in the document.

16           CHAIRPERSON KLEINMAN: Yeah. This is Mike. I  
17 think the first way you phrased it is better, because I  
18 think the -- it is important to, you know, point out that  
19 if you block translocation of the Nrf2 into the nucleus,  
20 you're going to reduce the antioxidant response. So,  
21 yeah, I like the first way you phrased it.

22           PANEL MEMBER ARAUJO: Okay.

23           CHAIRPERSON KLEINMAN: Thank you. Any other  
24 comments, Jesús?

25           PANEL MEMBER ARAUJO: No. No other comments.

1           CHAIRPERSON KLEINMAN: Okay. Cort, do you have  
2 any comments?

3           PANEL MEMBER GILL: Mike, Sarjeet here. But  
4 whatever it is make sure, because the last phrase is  
5 actually -- it does not support the in vitro versus in  
6 vivo studies. So that's why I suggested make that a  
7 separate phrase -- phrase a separate sentence, as I  
8 indicated earlier.

9           CHAIRPERSON KLEINMAN: Okay. Thank you.  
10 Cort, do you have any comments?

11          PANEL MEMBER ANASTASIO: No, I have no comments.  
12 I thought it was a good report.

13          CHAIRPERSON KLEINMAN: Great. I have just a  
14 minor point of clarification. I just wanted to double  
15 check that on page 48, paragraph 3, talking about  
16 sensitization of individuals to MDI or PMDI. The sentence  
17 reads, "Once sensitization has occurred, exposure to even  
18 exceedingly low concentrations of TDI below threshold  
19 limit values..., et cetera, et cetera. Is this  
20 specifically saying that the people were, you know,  
21 sensitized to the MDI or PMDI, but later were more  
22 sensitive to TDI? Is that what that literature reference  
23 is driving at or was this supposed to be sensitive to MDI?

24          DR. DODGE: Oh, yeah, I think I meant to -- you  
25 know, I pasted this in an didn't change all the TDIs to

1 MDIs is basically what happened here, I think.

2 CHAIRPERSON KLEINMAN: Okay.

3 DR. DODGE: I used the same paragraph sentence in  
4 both documents, and I didn't fix the TDI to change it to  
5 MDI.

6 CHAIRPERSON KLEINMAN: Okay. That's what I  
7 thought, but I just wanted to check that. I really -- you  
8 know, I think I agree with the comment -- the other  
9 comments that have been made. I think the document is in  
10 very good shape.

11 Shall we move on to responses to the external  
12 comments?

13 DR. SIEGEL: These are -- This is Dave Siegel.  
14 Again, these are the same comments that we responded to  
15 earlier. I just wanted to point that out, that we feel  
16 they're the same comments.

17 CHAIRPERSON KLEINMAN: And so --

18 PANEL MEMBER BUCKPITT: This is Al Buckpitt, and  
19 I believe, Dave, that you and Daryn have answered those  
20 comments appropriately.

21 DR. SIEGEL: Thank you.

22 CHAIRPERSON KLEINMAN: Sarjeet, you agree?

23 PANEL MEMBER GILL: Yeah, I agree. Actually, I  
24 will send in my written document to Daryn, and I guess,  
25 just like Alan has said, they are actually mostly

1 addressed. And I see no real necessity to address it  
2 further. That's it.

3 CHAIRPERSON KLEINMAN: Thank you. Are there any  
4 further comments or questions from the Panel members?

5 If not, Sarjeet, would you like to pose a motion  
6 to approve the MDI document?

7 PANEL MEMBER GILL: I will do that. So I propose  
8 that we approve the document as is written with the minor  
9 modifications suggested today.

10 PANEL MEMBER BUCKPITT: I'd like to second that.  
11 This is Al Buckpitt.

12 CHAIRPERSON KLEINMAN: Thank you. Again, we'll  
13 just go around the phone circle here.  
14 So Alan, your vote?

15 PANEL MEMBER BUCKPITT: Yes, approve.

16 CHAIRPERSON KLEINMAN: Sarjeet?

17 PANEL MEMBER GILL: Yes, approve.

18 CHAIRPERSON KLEINMAN: Kathy?

19 PANEL MEMBER HAMMOND: Yes, approve.

20 CHAIRPERSON KLEINMAN: Beate?

21 PANEL MEMBER RITZ: Yes, approve.

22 CHAIRPERSON KLEINMAN: Jesús?

23 PANEL MEMBER ARAUJO: Yes, approve.

24 CHAIRPERSON KLEINMAN: Cort?

25 PANEL MEMBER ANASTASIO: Yes.



1           CHAIRPERSON KLEINMAN: And I approve. So we have  
2 unanimous approval for both documents now.

3           And so that, I believe, concludes the first point  
4 on our agenda. And again, I wanted to just thank OEHHA  
5 and the staff for doing, you know, an excellent job of  
6 taking all the comments into account, all the SRP  
7 suggestions. And I think the final documents are very  
8 strong and well justified. So I think that's it.

9           DR. DODGE: Mike, this is Daryn in Sacramento.

10          CHAIRPERSON KLEINMAN: Yes.

11          DR. DODGE: I'd like to thank the Panel for  
12 reviewing these documents. Now, the changes that has been  
13 requested should I go ahead and fix those and have you  
14 have final approval from you regarding these latest  
15 changes?

16          CHAIRPERSON KLEINMAN: What I'd like is for the  
17 final changes to be sent both to me and to Alan and  
18 Sarjeet, since they were the leads on the discussion. And  
19 then unless there's some further question about it, I  
20 think we're ready to move those forward.

21          PANEL MEMBER GILL: Daryn, this is Sarjeet here.  
22 I will send you my comments later today, so you can see  
23 those in the written format. It may be easier to analyze  
24 than what I'm saying on the phone. And if you can then --  
25 if Mike agrees, then you can send it to the track changes

1 and then we can send it back to Mike for final approval.

2 PANEL MEMBER BUCKPITT: I'll do the same, Daryn.  
3 I'll probably do that through Peter Mathews, because I  
4 have his email address.

5 CHAIRPERSON KLEINMAN: Yeah, I think that, you  
6 know, just as a matter of record, it's probably best to  
7 send all those official documentations through Peter, so  
8 that there is an official record of everything, you know,  
9 for the Committee. So thank you, Alan. That's a good  
10 suggestion.

11 I wanted to just mention that in terms of  
12 consideration of administrative matters, I wanted to thank  
13 everyone for their help and support while my wife and I  
14 went through a rather unpleasant episode. But I just  
15 wanted to mention that she's recovered very nicely and  
16 we're back on track. And hopefully, I will not be the  
17 cause of further disruption to our scheduling. But again,  
18 thank you very much to all of you for the support, kind  
19 words, and helping this process forward.

20 DR. MARTY: And than you, Mike. Happy that she's  
21 doing well.

22 CHAIRPERSON KLEINMAN: Thank you.

23 PANEL MEMBER BUCKPITT: Same here, Mike.

24 PANEL MEMBER GILL: Same here.

25 CHAIRPERSON KLEINMAN: I appreciate that. Thank

1 you.

2           We have plans to schedule our next meeting in  
3 December. And I believe, at that time, there will be some  
4 appropriate mention of the contributions that George  
5 Alexeeff has made to, you know, the science of risk  
6 assessment and risk analysis and to toxicology in general.  
7 I was really sorry to hear of his passing and his  
8 contributions are going to be very much missed. And we'll  
9 be able to at the December meeting discuss that in more  
10 appropriate detail.

11           I don't have any other administrative issues that  
12 we need to bring up at the meeting, but let me ask around  
13 the Panel, are there any other issues that we need to  
14 discuss?

15           PANEL MEMBER ARAUJO: No, just to confirm the  
16 date in December. Is that December 18th?

17           MR. MATHEWS: This is Peter Mathews. That's  
18 correct, Friday, December 18. It will start in the  
19 morning. We haven't determined the time yet.

20           PANEL MEMBER ARAUJO: Okay.

21           MR. MATHEWS: But in all fairness, it will be a  
22 morning/afternoon session.

23           CHAIRPERSON KLEINMAN: Okay. And the agenda will  
24 be sent out in advance and posted as usual as soon as  
25 everything is straightened out.

1 Peter, are there other administrative details  
2 that we need to discuss today?

3 MR. MATHEWS: No, I think we're possibly done.

4 CHAIRPERSON KLEINMAN: Excellent. I want to  
5 thank everybody for their contributions, especially Alan  
6 and Sarjeet for taking lead roles on these discussions.  
7 And Daryn, Melanie, David, I think the documents are very  
8 well done, and I'm very happy with the way everything is  
9 turning out.

10 So based on that, can I have a motion to adjourn?

11 PANEL MEMBER GILL: Sarjeet. So moved.

12 CHAIRPERSON KLEINMAN: Second?

13 PANEL MEMBER BUCKPITT: Second. Buckpitt.

14 CHAIRPERSON KLEINMAN: Okay. I declare this  
15 meeting is adjourned then.

16 Thank you. 12:17 PM

17 (Thereupon the California Air Resources Board,  
18 Scientific Review Panel adjourned at 12:17 p.m.)

19

20

21

22

23

24

25

C E R T I F I C A T E O F R E P O R T E R

I, JAMES F. PETERS, a Certified Shorthand Reporter of the State of California, do hereby certify:

That I am a disinterested person herein; that the foregoing California Air Resources Board, Scientific Review Panel meeting was reported in shorthand by me, James F. Peters, a Certified Shorthand Reporter of the State of California;

That the said proceedings was taken before me, in shorthand writing, and was thereafter transcribed, under my direction, by computer-assisted transcription.

I further certify that I am not of counsel or attorney for any of the parties to said meeting nor in any way interested in the outcome of said meeting.

IN WITNESS WHEREOF, I have hereunto set my hand this 31st day of August, 2015.

JAMES F. PETERS, CSR  
Certified Shorthand Reporter  
License No. 10063