

MEETING  
STATE OF CALIFORNIA  
ENVIRONMENTAL PROTECTION AGENCY  
AIR RESOURCES BOARD  
SCIENTIFIC REVIEW PANEL  
ON TOXIC AIR CONTAMINANTS

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY  
SIERRA HEARING ROOM, 2ND FLOOR  
1001 I STREET  
SACRAMENTO, CALIFORNIA

UNIVERSITY OF CALIFORNIA, LOS ANGELES  
FIELDING SCHOOL OF PUBLIC HEALTH, ROOM 73-320A  
650 CHARLES E. YOUNG DRIVE, SOUTH  
LOS ANGELES, CALIFORNIA

WAIMEA PLANTATION COTTAGES  
9400 KAUMUALII HIGHWAY  
WAIMEA, HAWAII

WEDNESDAY, DECEMBER 13, 2017

10:16 A.M.

JAMES F. PETERS, CSR  
CERTIFIED SHORTHAND REPORTER  
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

Michael T. Kleinman, Ph.D., Chairperson

Cort Anastasio, Ph.D.

Jesús A. Araujo, M.D., Ph.D.

Paul D. Blanc, M.D.

Alan R. Buckpitt, Ph.D.

Stanton A. Glantz, Ph.D.

S. Katharine Hammond, Ph.D.(via teleconference)

Joseph R. Landolph, Jr., Ph.D.

Beate R. Ritz, M.D., Ph.D.(via teleconference)

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Jim Behrmann, Panel Liaison

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD  
ASSESSMENT:

Dr. John Budroe, Chief, Air Toxicology Risk Assessment  
Section

Dr. John Faust, Chief, Air, Community and Environmental  
Research Branch

Dr. Lori Lim, Senior Toxicologist

Dr. David Siegel, Retired Annuitant

Dr. Rona Silva, Air, Community and Environmental Research  
Branch

A P P E A R A N C E S C O N T I N U E D

REPRESENTING THE DEPARTMENT OF PESTICIDE REGULATION:

Dr. Terrell Barry, Exposure Assessor

Dr. Shelley DuTeaux, Chief, Human Health Assessment Branch

Dr. Svetlana Koshlukova, Senior Toxicologist, Risk  
Assessment Section

Mr. Randy Segawa, Special Advisor

Dr. Marylou Verder-Carlos, Assistant Director

I N D E X

PAGE

1. Continuation of the Panel's review of "Tertiary-Butyl Acetate Inhalation Cancer Unit Risk Factor" - SRP Review Draft (November 2017)

4

In December 2016 the Office of Environmental Health Hazard Assessment (OEHHA) staff presented to the Panel a draft technical support document summarizing the carcinogenicity and derivation of an inhalation cancer unit risk for tertiary-butyl acetate (TBAC). In this meeting the Panel will discuss a revised technical support document (November 2017).

OEHHA is required to develop guidelines for conducting health risk assessments under the Air Toxics Hot Spots Program (Health) and Safety Code Section 44360(b)(2). The TBAC inhalation unit risk was developed using the most recent "Air Toxics Hot Spots Program Technical Support Document for Cancer Potency Factors" finalized by OEHHA in 2009. After the Panel's review the document will be finalized and when adopted by the OEHHA Director the document will be included in Appendix B of the Air Toxics Hot Spots Program Technical Support Document for Cancer Potency Factors.

2. Overview of the draft report "Draft Evaluation of Chlorpyrifos as a Toxic Air Contaminant: Risk Characterization of Spray Drift, Dietary, and Aggregate Exposures to Residential Bystanders" (December 2017)

49

Department of Pesticide Regulation (DPR) staff will present an introductory briefing on the draft DPR report proposing to identify and list chlorpyrifos as a toxic air contaminant. The briefing is intended to provide background for the Panel's review of the report which will continue at the Panel's January 23, 2018 meeting. The draft DPR report will be posted in early December 2017 to the following DPR website under the Risk Assessment Documents tab:

I N D E X C O N T I N U E D

3. Consideration of administrative matters.	122
The Panel may discuss various administrative matters and scheduling of future meetings.	
Adjournment	127
Reporter's Certificate	128

## P R O C E E D I N G S

1  
2 CHAIRPERSON KLEINMAN: Good morning, and welcome.  
3 I'd like to call the meeting of the Scientific Review  
4 Panel to order. And I want to welcome everybody to this  
5 meeting of the Panel on Toxic Air Contaminants. We have  
6 attendees here in Sacramento and we also have some people  
7 listening and watching on a webcast.

8 And while I'm women welcoming everybody, I would  
9 like to also extend a warm welcome to our returning Panel  
10 Member Joe Landolph, who was appointed by the Assembly  
11 Speaker earlier this year in the biochemistry and  
12 molecular biology category on this Panel.

13 Joe has appointments in molecular microbiology  
14 and immunology at the USC Keck School of medicine and in  
15 the USC School of Pharmacy. He's also a member of the USC  
16 Norris Comprehensive Cancer Center. And he served on this  
17 panel quite a few years ago. You know, he had a long  
18 tenure. And so I'm very happy to welcome Joe back.

19 I do want to remind those who are going to be  
20 sneaking to use the microphones because we are webcasting.  
21 And also, if you're using a laser pointer for slides, it  
22 may not show up on the webcast, so speakers are going to  
23 have to be a little more descriptive as they discuss their  
24 PowerPoints.

25 Finally, if everybody can silence their phones or

1 communications devices, that would be great.

2           And so now I'd like to first go around the table  
3 and have the participants from the Panel just give a very  
4 brief introduction, and then we'll also poll the members  
5 who are calling in. So we can start with Dr. Landolph.

6           PANEL MEMBER LANDOLPH: It's a pleasure to com  
7 back again. I certainly served with Stan Glantz and Paul  
8 Blanc before. It's a pleasure to see all the new members.  
9 And I know John Budroe. My research deals with nickel,  
10 chromium, and arsenic carcinogenesis. We do a lot of work  
11 in cell culture, may transform cell lines, and study the  
12 activation oncogenes, knockout of tumor suppressor genes,  
13 and the changes in global gene expression that this causes  
14 leading to the transformed cell.

15           And I also teach microbiology and toxicology at  
16 USC and I work in cancer, as Mike has pointed out. My  
17 primary appointment point is in molecular microbiology and  
18 immunology. And then the USC Norris Comprehensive Cancer  
19 Center. And I serve on this Panel and also on the  
20 Carcinogen Identification Committee Panel of the State of  
21 California. Pleasure to be back.

22           PANEL MEMBER ANASTASIO: I'm Cort Anastasio. I'm  
23 a Professor in the Department of Land, Air, and Water  
24 Resources at UC Davis, and I study atmospheric chemistry.

25           PANEL MEMBER ARAUJO: I'm Jesús. Araujo I'm a

1 Associate Professor of Medicine in the -- at the UCLA  
2 School of Medicine, Environmental Health Sciences in the  
3 School of Public Health. And I work on the cardiovascular  
4 effects of ambient particular matter, as well as in  
5 electronic cigarettes.

6 PANEL MEMBER BUCKPITT: I'm Alan Buckpitt from  
7 the Department of Molecular Biosciences in the School of  
8 Veterinary Medicine, and I'm retired.

9 PANEL MEMBER GLANTZ: I'm Stan Glantz. I'm a  
10 Professor of Medicine at UC San Francisco. And I'm here  
11 in the biostatistics seat.

12 PANEL MEMBER BLANC: And I'm Paul Blanc from the  
13 University of California, San Francisco. I'm Chief of the  
14 Division of Occupational and Environmental Medicine there.  
15 And my background is in internal medicine, occupational  
16 medicine and medical toxicology.

17 CHAIRPERSON KLEINMAN: Thank you. We are  
18 supposed to have two participants on the phone. Kathy,  
19 are you there?

20 Not hearing Kathy.

21 Beate, are you there?

22 CHAIRPERSON KLEINMAN: Well, I'll assume that  
23 they will announce themselves when they are able to get on  
24 line.

25 As it stands, we do have a quorum for this



1 meeting. And so we can proceed to our next item of  
2 business. So I'd like to again welcome all the panel  
3 members and the people who are here to listen in on the  
4 proceedings.

5 And we have two agenda items for today's meeting.  
6 The first item is going to be the panel's second review of  
7 the Inhalation Cancer Unit Risk Factor, or IUR, for the  
8 tertiary-butyl acetate, also known as TBAC.

9 The IUR was developed using risk assessment  
10 methodologies for developing IURs under the Air Toxics Hot  
11 Spots Program. The document underwent public review and  
12 comment in 2016. OEHHA presented the initial document to  
13 the panel in December of 2016 for comment, at which time a  
14 large number of recommendations were made and the document  
15 has since been accordingly revised and changed. And that  
16 document -- the revised Document will be presented today.

17 An additional written public comment by Lyondell  
18 was also made to the SRP in March of 2017. And the  
19 response to that public document will also be discussed.

20 So the lead Panel members for the document were  
21 Dr. Araujo and myself. But many of the other Panel  
22 members had comments, and so we'll start out with Dr.  
23 Araujo and we'll also hear -- but before we do that, we'll  
24 hear a presentation from the staff on the TBAC document as  
25 it stands now. Then we'll use the time to discuss and

1 provide additional feedback on the document.

2 Materials for the meeting have already been  
3 provided to SRP members, are available to the public on  
4 the OEHHA website. And the website is also noted. If you  
5 haven't found -- well, presumably, if you're on the  
6 website, you've already found this, but the documentation  
7 is available on the web.

8 So let's start out with John Budroe or -- John.

9 DR. FAUST: I was just going to introduce John.  
10 I'm John Faust, Chief of the Air, Community, and  
11 Environmental Research Branch. And I'm just here to  
12 introduce Dr. John Budroe, who's Chief of our Air  
13 Toxicology and Risk Assessment Section, who will be giving  
14 the continued presentation on the unit risk factor for  
15 tertiary-butyl acetate.

16 So, John.

17 (Thereupon an overhead presentation was  
18 presented as follows.)

19 DR. BUDROE: Good morning, Dr. Kleinman, Panel  
20 members.

21 --o0o--

22 DR. BUDROE: I'll be -- this will be starting off  
23 with a brief overline -- outline of the document. And I  
24 can definitely promise you that you won't be subjected to  
25 90 slides this time around.

1           So the timelines for this document -- the initial  
2 document was released for a 60-day public comment period  
3 on August 14th, 2015. The Scientific Review Panel draft  
4 was released to the public and the SRP November 14th,  
5 2016. And the first Scientific Review Panel document  
6 review was done on December 13th, 2016.

7                               --o0o--

8           DR. BUDROE: Now, the changes that were made from  
9 the initial version of the document in response to the  
10 comments made by the Panel:

11           Potential residential and worker exposures were  
12 added from Bus 2014. And non-cancer health effects  
13 information was added. And these two sections are fairly  
14 straightforward. And I won't be discussing them further  
15 in the presentation. But if the Panel has questions on  
16 them, I'd certainly be happy to answer them.

17           The metabolism section of the document was  
18 expanded, including information on carboxylesterase  
19 activity in humans and rodents, and methyl tert-butyl  
20 ether, or MTBE, and ethyl tert-butyl ether, or ETBE, as  
21 TBAC surrogates. Expanded survival data was added to the  
22 bioassay section.

23                               --o0o--

24           DR. BUDROE: There was a change from poly-3  
25 correction to effective number of animals in cancer dose

1 response analysis. And the effective number of animals is  
2 the number of animals alive on the first day the tumor of  
3 interest was observed in any dose group. And I'll be  
4 expanding on that later.

5 --o0o--

6 DR. BUDROE: To get into the -- a little bit of a  
7 refresh on the core part of the document. The metabolism  
8 of TBAC, it's substantially metabolized to  
9 tertiary-butanol, or TBA. That inhaled TBAC is rapidly  
10 distributed to tissues. And metabolism occurs through  
11 hydroxylation, hydrolysis, and/or glucuronidation.

12 --o0o--

13 DR. BUDROE: This is a slightly out of focus  
14 metabolic scheme for TBAC. And one of the things that we  
15 added to this were at the beginning of the metabolic  
16 pathway the types of reactions that were occurring.

17 --o0o--

18 DR. BUDROE: And we added a expanded discussion  
19 of the action of carboxylesterases. Bus suggested that  
20 the hydrolysis of TBAC to TBA in rats is mediated by  
21 carboxylesterases or CEs.

22 Human and mouse data for CE metabol -- mediated  
23 metabolism of TBAC to TBA are not available. However,  
24 there are a variety of CEs in both humans and mice, six  
25 isoforms in humans, 20 in mice.

1           And these CEs have a broad substrate specificity  
2 and are distributed within a wide variety of tissues,  
3 suggesting that this metabolic pathway could be operative  
4 in both humans and mice.

5                           --o0o--

6           DR. BUDROE: We also have a discussion of MTBE  
7 and ETBE, especially MTB as a TBA surrogate. And MTBE is  
8 metabolized to TBA in rats, mice, and humans after oral  
9 and inhalation exposure. And is a carcinogen in both rats  
10 and mice.

11           TBA also appears in the exhaled breath of humans  
12 after both oral intake and inhalation exposure, suggesting  
13 that MTBE lacks a significant first pass effect in humans.  
14 The MTBE data suggests that TBA may also not experience  
15 significant first-pass metabolism in humans. The MTBE  
16 data also indicates that MTBE toxicity in humans is  
17 probably independent of the route of exposure, which  
18 suggests that TBAC toxicity in humans may also be  
19 route-independent.

20                           --o0o--

21           DR. BUDROE: Now, the TBAC cancer risk assessment  
22 is based on the 2-year TBA drinking water study in rats  
23 and mice that was done by NTP in 1995. The study  
24 population was Fischer 344 rats and B6C3F1 mice. The  
25 exposure method drinking water ingestion for up to 103

1 weeks. In male rats, concentrations up to 5 milligrams  
2 per ml. Female rats up to 10 milligrams per ml. And in  
3 male and female mice up to 20 milligrams per ml.

4 --o0o--

5 DR. BUDROE: This is a listing of the tumor  
6 incidences for the key tumor types in rats and mice:  
7 Renal tubule adenomas and carcinomas in male rats, and  
8 thyroid follicular cell adenomas in male and female mice.  
9 And the 2.5 milligram per ml dose was statis -- the tumor  
10 incidence for renal tubule adenomas and carcinomas was  
11 significantly increased compared to controls.

12 In female mice, the high dose demonstrated  
13 increased -- statistically significantly increased thyroid  
14 follicular cell adenomas compared to controls. And this  
15 cell type is conceded to progress to carcinomas.

16 --o0o--

17 DR. BUDROE: So the critical effects were renal  
18 tubule adenomas and carcinomas in male rats; thyroid  
19 follicular cell tumors in female mice. And the male rat  
20 kidney tumor data and female mouse thyroid data dose  
21 response analysis was conducted using tumor incidences  
22 adjusted for the effective number of animals.

23 --o0o--

24 DR. BUDROE: And this change -- this was  
25 essentially a change from using a poly-3 correction in the

1 prior version to effective number of animals in this  
2 version. And as I stated earlier, the effective number of  
3 animals is the number of animals alive on the first day  
4 the tumor of interest was observed at any dose group.  
5 Now, a poly-3 correction is generally used when early  
6 mortality is observed in treated groups.

7 --o0o--

8 DR. BUDROE: And we went back and looked at the  
9 data again. And early mortality was really not present in  
10 male rats and female mice in the NTP 1995 study. And the  
11 use of effective number of animals is pretty much standard  
12 practice in the OEHHA cancer dose response analysis. In  
13 fact, I sus -- believe that most, if not all, of the  
14 animal bioassay derived cancer potency factor documents  
15 that the Panel has seen has used effective number of  
16 animals.

17 The change from the use of poly-3 correction to  
18 the effective number of animals resulted in slight changes  
19 in the TBAC inhalation unit risk, or IUR, and associated  
20 slope factors.

21 --o0o--

22 DR. BUDROE: So this is the tumor incidences with  
23 the prior poly-3 adjustment.

24 --o0o--

25 DR. BUDROE: And this is the increased tumor

1 incidence adjusted for effective number of animals. And  
2 the significance of the -- pairwise comparison  
3 significance didn't change. You still had a positive  
4 trend test for dose response.

5 --o0o--

6 DR. BUDROE: So we calculated cancer slope  
7 factors for TBA using benchmark dose software. And a  
8 cancer slope factor in animals for -- of 3.1 times 10 to  
9 the minus 3 milligram per kilogram day to the minus 1 was  
10 calculated from the male rat kidney tumor data set with  
11 the high dose eliminated using a first degree polynomial.

12 --o0o--

13 DR. BUDROE: The reason that high dose was  
14 dropped was to allow for model convergence. This is a  
15 procedure that U.S. EPA acknowledges and feels it's  
16 reasonable to do in those circumstances in their BMDS  
17 guidance. And the first degree polynomial is used to  
18 model the data for goodness of fit purposes.

19 We also calculated a TBA cancer slope factor of 8  
20 times 10 to the minus 5 milligram per kilogram day from  
21 the corrected female mouse thyroid tumor data set using a  
22 third degree polynomial multi-stage cancer model.

23 --o0o--

24 DR. BUDROE: The male rat kidney tumor data  
25 yielded the highest cancer slope factor value. And we



1 then converted this animal cancer potency estimate to a  
2 human potency equivalent. And this was done -- this  
3 conversion was done using a body weight to the  
4 three-quarter power scaling.

5 --o0o--

6 DR. BUDROE: So the TBA cancer slope factor for  
7 humans was found -- was calculated as 1.1 times 10 to the  
8 minus 2 milligram per can kilogram day to the minus 1.

9 --o0o--

10 DR. BUDROE: We then derived a TBA -- a TBAC oral  
11 cancer slope factor of 5 times 10 to the minus 3 milligram  
12 per kilogram day to the minus 1 from the TBA human cancer  
13 slope factor, assuming a TBAC to TBA metabolic conversion  
14 factor of 0.71, and a molecular weight ratio, or MWR, of  
15 0.64, which is the ratio of the TBA molecular weight to  
16 the TBAC molecular weight.

17 --o0o--

18 DR. BUDROE: A TBAC inhalation cancer slope  
19 factor was then calculated from the oral slope factor  
20 using the equation below where fractional absorption is 95  
21 percent.

22 --o0o--

23 DR. BUDROE: A TBAC unit risk factor was then  
24 derived from the inhalation cancer slope factor using a  
25 human breathing rate of 20 cubic meters per day, an

1 average human body weight of 70 kilograms, and a milligram  
2 to microgram conversion of 1000. And this yielded a  
3 unit -- inhalation unit risk of 1.3 times 10 to the minus  
4 6 micrograms per cubic meter to the minus 1. So  
5 essentially this means that you have -- if you're exposed  
6 to a concentration of 1 microgram per cubic meter of TBAC,  
7 the corresponding cancer risk is 1.3 in a million.

8 --o0o--

9 DR. BUDROE: So the prior document version had  
10 oral slope factors and inhalation slope factors of 7 and  
11 6.7 times 10 the minus 3 milligram per kilogram day to the  
12 minus 1 respectively, and an inhalation unit risk of 1.9  
13 times 10 to the minus 6 microgram per cubic meter.

14 The current version has oral and inhalation slope  
15 factors of 5 and 4.7 times 10 to the minus 3 per milligram  
16 kilogram day to the minus 1, and an inhalation unit risk  
17 of 1.3 times 10 to the minus 6 microgram per cubic meter  
18 to the minus 1.

19 So as you can see, the change from poly-3  
20 correction with effective number animals did not make that  
21 much of a difference in the final slope factors in  
22 inhalation unit risk values.

23 --o0o--

24 DR. BUDROE: Now, we also have a response to the  
25 LyondellBasell March 2, 2017 letter to the SRP. And we

1 reviewed the contents of the letter. And there wasn't  
2 anything in there to justify revising the document in  
3 response to the letter contents, but we did prepare  
4 responses for the Panel.

5           These are not exact quotes, but I've paraphrased  
6 what was in the letter for the presentation. And Lyondell  
7 commented that neither the SRP nor the Proposition 65  
8 Cancer Identification Committee, or CIC, have previously  
9 reviewed either TBAC or TBA for carcinogenicity. And the  
10 only cancer slope factor approved by the SRP for a  
11 chemical not previously designated as a carcinogen by an  
12 authoritative body, for example IARC, was MTBE.

13           The OEHHA response to this is that TBAC and TBA  
14 have indeed not been previously reviewed for  
15 carcinogenicity by either the SRP or CIC or designated as  
16 a carcinogen by authoritative body.

17           However, neither review by the CIC nor  
18 authoritative body, carcinogen designation is required for  
19 the SRP to determine that the chemical is a carcinogen.

20           PANEL MEMBER GLANTZ: Aren't we considered an  
21 authoritative body for that?

22           DR. BUDROE: An authoritative body is kind of  
23 Proposition 65 language. So it's like NTP, IARC, U.S.  
24 EPA. There's also -- we'll get to talking about the  
25 State's qualified experts, and that's its own also

1 designation lives in its own place.

2 --o0o--

3 DR. BUDROE: Another Lyondell comment was that a  
4 pathology working group or PWG that was published --

5 PANEL MEMBER GLANTZ: I just happened to notice,  
6 did you get an email from Kathy Hammond?

7 PANEL MEMBER BLANC: Yes. Yeah, she's listening.  
8 Kathy, glad you're listening. Our system is not allowing  
9 you to speak.

10 Kathy, sorry. I know your listening. I believe  
11 you're listening. When the system allows you to speak,  
12 please chime in, even if you're interrupting someone.

13 PANEL MEMBER GLANTZ: But you have to turn on  
14 your microphone they said.

15 I'm sorry, but --

16 DR. BUDROE: So the PWG that was published in  
17 Hard 2001(sic) reviewed the 1995 NTP male rat kidney  
18 pathology slides and concluded that alpha  
19 2u-globulin-induced nephropathy and chronic progressive  
20 nephropathy, or CPN, exacerbation were the only causative  
21 factors in the development of renal tubule tumors observed  
22 in males rats exposed to TBA in drinking water. And they  
23 felt that the -- they said that the PWG concluded that  
24 TBA-related renal changes in rats could not be  
25 extrapolated to human health risk assessment and were

1 unlikely to pose any risk for humans.

2           Our response is that we reviewed the Hard 2011  
3 article. We discussed some of its conclusions in the  
4 prior version, the initial version, of the cancer hazard  
5 evaluation section of the document. However, OEHHA  
6 disagrees with the conclusions of Hard et al., based on  
7 data from Doi et al., 20 -- 2007, and Melnick et al.,  
8 2012, as was discussed in the document.

9                               --o0o--

10           DR. BUDROE: Another Lyondell comment was that  
11 OEHHA's proposed cancer slope factor for TBAC is based  
12 solely on their speculation that TBA, the primary  
13 metabolite of both TBAC and MTBE, is a genotoxic human  
14 carcinogen.

15           The OEHHA response is that the proposed TBAC  
16 cancer slope factor is based on a dose response analysis  
17 of the NTP 1995 TBA drinking water study. OEHHA did not  
18 state in the document that either TBAC or TBA are  
19 genotoxins, and does not propose a mode of action, or MoA,  
20 for TBA carcinogenicity.

21           However, given the limited positive genotoxicity  
22 data for TBA, it cannot be stated that TBA is not a  
23 genotoxicant. And this is significant to determining if  
24 TBA meets the IARC criteria on whether chemicals induce  
25 male rat kidney tumors through increased accumulation of

1 alpha 2u-globulins.

2 --o0o--

3 DR. BUDROE: Another Lyondell comment was that  
4 the reason that OEHHA developed an interim risk factor for  
5 TBAC, based on the 1995 TBA chronic study, was because  
6 ARCO Chemical requested that the Air Resources Board grant  
7 a VOC exemption for TBAC, based on its negligible  
8 ozone-forming potential.

9 Our response is that the prior interim TBAC  
10 cancer inhalation unit risk factor was developed at the  
11 request of ARB, because of a VOC exemption request that  
12 was made by Lyondell Chemical Company on February 28th,  
13 2000.

14 --o0o--

15 DR. BUDROE: A Lyondell comment. The interim  
16 cancer risk factors developed by OEHHA in 1999 and 2000  
17 for TBA and TBAC were never sanctioned by CIC or reviewed  
18 by the SRP.

19 And the OEHHA response is that interim cancer  
20 slope factor and unit risk for TBAC was not reviewed by  
21 the SRP, because these values were not intended for use in  
22 the Air Toxics Hot Spots Program. The CIC has no  
23 statutory authority to review or sanction hot spots or VOC  
24 exemption cancer risk factors.

25 --o0o--

1 DR. BUDROE: Another Lyondell comment was that  
2 Lyondell requested a formal evaluation of the risk factors  
3 in 2011 and a peer review by the State's qualified  
4 experts, i.e. the CIC or SRP.

5 And the OEHHA response is that TBAC was entered  
6 into the hot spots evaluation process at the request of  
7 several air districts. Any development of either RELs or  
8 cancer risk factors would then be peer reviewed by the  
9 SRP. The CIC are the State's qualified experts for the  
10 purposes of Proposition 65, and has no authority to review  
11 peer review -- the peer review evaluations conducted under  
12 the Hot Spots Program.

13 --o0o--

14 DR. BUDROE: Another Lyondell comment was that  
15 OEHHA failed to engage the CIC in resolving the scientific  
16 adequacy of the interim cancer risk factor assumptions.  
17 Lyondell's concern that OEHHA has not allotted adequate  
18 time for meaningful independent review and public comment,  
19 and is now asking the SRP to make a determination of  
20 carcinogenicity that is normally the purview of the CIC.

21 And the OEHHA response to that comment is that  
22 Lyondell misunderstands the role of the CIC which are the  
23 State's qualified experts for Proposition -- for the  
24 purposes of Proposition 65.

25 The CIC does not have the statutory authority to

1 review hot spots documents. The SRP is the entity that  
2 has statutory responsibility to peer review hot spots  
3 documents. And OEHHA believes that the time provided for  
4 public comment and the SRP review was adequate.

5 --o0o--

6 DR. BUDROE: And that concludes my presentation,  
7 and would happy -- be happy to entertain any questions  
8 from the Panel.

9 CHAIRPERSON KLEINMAN: Okay. Thank you, John.

10 I understand that Beate and Kathy are able to  
11 hear the proceedings, and we are now going to try to  
12 arrange for them to be able to voice in on the meeting.  
13 So we'll take a 5-minute break before we start with the  
14 Panel discussion to allow the technical people to do that.

15 PANEL MEMBER GLANTZ: I just got another email  
16 from Kathy that says, "I am listening. I will announce  
17 myself when my oral capacity appears".

18 (Laughter.)

19 (Off record: 10:47 a.m.)

20 (Thereupon a recess was taken.)

21 (On record: 10:53 a.m.)

22 CHAIRPERSON KLEINMAN: I'd like to reconvene the  
23 meeting now. And before we go on with the questioning,  
24 I'd like to give Kathy Hammond and Beate Ritz an  
25 opportunity to just introduce themselves.



1           So start with you, Kathy.

2           PANEL MEMBER HAMMOND: Hi. I'm Kathy Hammond at  
3 UC Berkeley, professor Environmental Health Sciences. And  
4 one of my specialties is exposure assessment.

5           CHAIRPERSON KLEINMAN: Thank you.

6           PANEL MEMBER RITZ: And this is Beate Ritz. I'm  
7 a professor of environmental epidemiology with the Center  
8 of Occupational and Environmental Health at UCLA.

9           CHAIRPERSON KLEINMAN: All right. Thank you. So  
10 we'd like to now go on to the next phase of the meeting,  
11 which will be to have the Panel members discuss the  
12 revised document.

13           John.

14           DR. BUDROE: Dr. Kleinman, I'd like to introduce  
15 a member my staff, Dr. Rona Silva. She's one of the  
16 co-authors on this document, along with Dr. Kathy Vork.  
17 And she'll be possibly answering some of the questions on  
18 the exposure assessment and non-cancer toxicity section of  
19 the document.

20           CHAIRPERSON KLEINMAN: Thank you.

21           So I'd like to start with did Araujo who's the  
22 lead on the discussion, and then we'll continue from  
23 there.

24           PANEL MEMBER ARAUJO: Thank you, Dr. Kleinman.  
25 Thanks so much for the -- for all the work and -- that has

1 been invested on reviewing these documents, and also in  
2 the presentation with the summary of the changes.

3           The review was very extensive. And overall, I  
4 have to say that I am very pleased with all the work that  
5 was done. There were several points and issues that were  
6 critiqued. And I think that pretty much on all of them -  
7 I don't know if I am missing anyone - were addressed.

8           It has been strengthened and there are like new  
9 sections that are described in the summary of changes.  
10 The whole exposure sections in the beginning is new with  
11 tables and new studies.

12           There was a critique about the study -- the  
13 document not being updated and not including recent  
14 studies. And certainly, there is now an effort in  
15 including all the new studies, including studies that were  
16 published this year.

17           And several figures were critiqued, several  
18 tables were critiqued, and -- in the way how they were  
19 presented, and those changes were included.

20           If anything, I would have to say that the summary  
21 of changes was a little bit shy for everything that was  
22 done in the document. And I understand that it was in the  
23 interests of not making the presentation too extensive.  
24 But in the future, maybe you can -- you can go along and  
25 show everything that you did, because I think that it --

1 and for -- and those who didn't read the document and --  
2 in detail as it would have been helpful to read and  
3 understand why is that you did many of the changes that  
4 you did.

5           The metabolized section was also expanded and  
6 strengthened. So I'm very pleased with that. And I think  
7 that I -- even myself have a better understanding from  
8 reading the document of what is -- what the TBA and dose  
9 and how it's metabolized.

10           I do have a question. It's about one of the  
11 changes that you did. I didn't quite understand the  
12 decision that you made and why. It was on the -- on Table  
13 17. On Table 17, you omitted -- in the original  
14 presentation of the table, which corresponded to another  
15 number. You had presented the data for the male mice, and  
16 that you decided to remove that data entirely. I don't  
17 know why. What was that decision made?

18           DR. BUDROE: It was simply that we were  
19 presenting the tumor incidences where we did adjust it for  
20 effective number of animals. And since the male mice were  
21 being considered for cancer dose response analysis, we  
22 decided it was not necessary. It's just essentially extra  
23 data. So we pared it down to the key species and tumor  
24 types that we were developing the dose response analysis  
25 on.

1           PANEL MEMBER ARAUJO: Okay. So that was -- that  
2 is -- exemplifies one of the cases in which obviously you  
3 don't need to make an explanation in the document why you  
4 made that decision, but in the summary of changes, as you  
5 could have shown it. And that explain it by itself.

6           I also agreed with the essence of your responses  
7 and -- to the letters. And I believe that your  
8 explanations of why con -- what to consider TBAC as a  
9 carcinogen, just based on the data derived from the  
10 metabolites. I think that it was reported and -- in the  
11 document, as well as in the way how you responded to the  
12 letter and writers.

13           CHAIRPERSON KLEINMAN: Okay. Thank you.

14           I did not have too many substantive changes, most  
15 of my comments were very well addressed. Thank you for  
16 that. And one thing just reading the document,  
17 there was -- you know, it -- the shift in terminology from  
18 unit risk factor to inhalation unit risk, and then in the  
19 headings it's inhalation unit risk factor. I think it  
20 should be one or the other.

21           DR. BUDROE: Yeah. And we changed that in the  
22 current version, because we took a look at the guidance  
23 manual and the appendices in there. And what you see in  
24 the actual Hot Spots Guidance Manual is inhalation unit  
25 risk. So -- and it's -- I went back and looked over some

1 of the prior cancer documents, and they've really used  
2 both. There hasn't been -- there wasn't necessarily  
3 standardization, but we're going toward -- looking to  
4 standardize on cancer inhalation unit risk, or IUR.

5 CHAIRPERSON KLEINMAN: Yeah, I think it would be  
6 good to, yeah, just make sure that that's clear in the  
7 document, because, you know, people will start to look for  
8 two different things. And I have a few minor things which  
9 I'll give you in writing, you know, just minor changes.

10 The other thing that was sort of alluded to y  
11 Lyondell is that when some of these other agencies declare  
12 something -- you know, a substance to be a carcinogen,  
13 they have categories, you know, a known human carcinogen,  
14 a suspected human carcinogen. You know, they have various  
15 gradations.

16 And in this particular application for this  
17 purpose, we're not assigning anything like that, is that  
18 correct?

19 DR. BUDROE: That is correct.

20 CHAIRPERSON KLEINMAN: So our declaration of  
21 carcinogenicity will be seen as different than say an IARC  
22 designation or an EPA designation?

23 DR. BUDROE: That is correct.

24 CHAIRPERSON KLEINMAN: But that is within the  
25 purview of the statutes in California, is that correct?

1 DR. BUDROE: That is also correct.

2 CHAIRPERSON KLEINMAN: Okay. I just wanted to  
3 make sure we're all on the same page on that.

4 All right. Then I'd like to start with Dr.  
5 Buckpitt, who had some overall comments, and then some  
6 minor comments that he's given me in writing and I'll pass  
7 on.

8 PANEL MEMBER BUCKPITT: Yeah, thanks. These are  
9 all really minor comments. Again, I'd like to say that I  
10 thought you did a really good job making the case for  
11 using TBA as your standard. You went through all of the  
12 data, looking at the metabolism and how much is  
13 metabolized in the various species. I thought you did a  
14 very good job essentially blunting the comment that this  
15 is all driven by alpha 2-microglobulin. So I think in  
16 that respect, this is a well done document.

17 I had just a couple of things. When you have  
18 Figure 4 and -- unfortunately, I didn't put the page  
19 number down. But Figure 4 seems late in the document. If  
20 you could move that up -- I think it was on page 10. And  
21 this is on the metabolism of MTBE.

22 DR. SILVA: Which?

23 PANEL MEMBER BUCKPITT: Fourteen, yes.

24 DR. BUDROE: Okay. So you'd like that moved to  
25 the front of section --

1           PANEL MEMBER BUCKPITT: Moved forward, so that  
2 when we read the paragraph -- I mean, I was sitting there  
3 saying, oh, geez, did I have to go to the literature -  
4 shame on me - to actually see how this is? And you had it  
5 in there. Just move it forward, so that it's easily  
6 accessible.

7           DR. BUDROE: Okay. We can certainly do that.

8           PANEL MEMBER BUCKPITT: And then lines 328  
9 through 335, you talk about the metabolites of MTBE and  
10 microsomal cytosolic Incubations. I presume those are the  
11 same metabolites. It wasn't specifically stated. But if  
12 you really look at the data, they cytosolic fraction is 1  
13 percent or less of the microsomal fraction. I think it's  
14 a waste of time to even mention it. Those are microsomal  
15 metabolites.

16           I'm guessing that the data that 1 percent that --  
17 or less that is there is probably contamination of the  
18 cytosolic fraction from microsomes. People aren't  
19 careful, they get microsomes in their cytosolic fraction.

20           DR. BUDROE: Okay. Well, that's not a critical  
21 section, so we could easily delete that.

22           PANEL MEMBER BUCKPITT: Absolutely not.

23           The lines 362 through 367, I think it might be  
24 useful to comment that the total metabolites include both  
25 the conjugated and unconjugated. Going back to the paper,

1 they actually used acid hydrolysis to cleave the  
2 glucuronides.

3 DR. BUDROE: Okay. We can provide mention of  
4 that.

5 PANEL MEMBER BUCKPITT: Line 373, you have C-13  
6 labeled as a radioactive isotope, but that's just a stable  
7 isotope. And again, it's a minor comment.

8 The only other two comments so line 912, 913, the  
9 authors were evaluating adducts by using accelerator mass  
10 spectrometry. I would actually use adducts rather than  
11 damage, because damage can essentially be the result of  
12 oxidation of DNA basis, and I'll defer to Dr. Landolph if  
13 he disagrees.

14 And then line 1182 you have  
15 8-hydroxy-deoxyguanosine as not an adduct at base. It's  
16 really an oxidized base. But it's just terminology.

17 And I have a few other things, but none of them  
18 are critical at all. So good job.

19 DR. BUDROE: Thank you.

20 CHAIRPERSON KLEINMAN: Stan.

21 PANEL MEMBER GLANTZ: Since this is almost all  
22 toxicology, I'll defer to my colleagues to know what  
23 they're talking about.

24 PANEL MEMBER BLANC: Any comments on the  
25 statistical analysis in terms of the tumor incidence



1 since -- in the oral comments, it talked about pairwise  
2 comparisons, but then talked about trend. And it was not  
3 entirely clear how the appropriate trend was being used  
4 when I looked at it.

5 PANEL MEMBER GLANTZ: Well, yeah, actually, now  
6 that you mention it, I had noted that. So I think -- I  
7 think in the tables, let me -- there are two tables where  
8 you have those data, which were -- I'm -- well, do you  
9 know the two tables I'm talking about? Yeah.

10 DR. BUDROE: I believe so.

11 PANEL MEMBER GLANTZ: Yeah, I think it would have  
12 been a better idea -- I'm sorry, I spaced out. I don't  
13 think it's going to change anything in the report, but I  
14 think it would have been better to have tested for a trend  
15 in the data, rather than just do a bunch of pairwise  
16 comparisons, which is what you did.

17 DR. BUDROE: Well, it -- I looked at that pretty  
18 exhaustively --

19 PANEL MEMBER GLANTZ: Okay.

20 DR. BUDROE: -- and what you're -- this is  
21 essentially the pairwise comparisons are for the hazard ID  
22 section of the document, is this or is this chemical -- is  
23 it or is it not a carcinogen.

24 And NTP in pretty much every cancer hazard ID  
25 document I've ever seen, they used to use chi-squared

1 comparisons. Now, they that use Fisher Exact. And you  
2 can get a trend test, Cochran-Armitage, out of -- if you  
3 run the data through the data through BMDS, for example.  
4 But it's essentially Fisher Exact is the gold standard.  
5 So where we would go, you know, other than that, I'm  
6 really not quite certain.

7 PANEL MEMBER GLANTZ: Well, I guess -- well, no,  
8 but the -- I mean, they're not incompatible. But as long  
9 as you think that things -- as the exposure goes up, the  
10 incident -- the tumor incidence goes up, why you -- can't  
11 you just test it against dose, or is it the numbers are  
12 too small?

13 DR. BUDROE: Well, we just -- it's -- we're using  
14 the Fisher Exact just to determine if the chemical is a  
15 carcinogen or not. Those -- that doesn't enter into the  
16 cancer dose response analysis.

17 PANEL MEMBER GLANTZ: Right. Right. But what  
18 I'm -- what -- I mean, the point Paul made up is when  
19 you're doing the pairwise comparisons with Fisher Exact  
20 tests or chi-squared depends on what the -- how big the  
21 numbers are.

22 You're -- you -- that has less power actually,  
23 the testing for a trend, because when you're looking at  
24 the pairwise comparisons, you're only looking at part of  
25 the data in each comparison, whereas if you test for a

1 trend, you're using all the data at once. So that's going  
2 to give you more power to detect an effect.

3 I mean, you detected an effect, even using the  
4 less powerful approach, so I don't think it substantively  
5 changes the document.

6 But I -- I was sort of surprised you didn't do a  
7 trend test. I mean, if you wanted to keep the pairwise  
8 comparisons, there's nothing -- you could, but I think you  
9 could -- the thing would be stronger, I mean, if you were  
10 to show that there is a trend in -- between -- as the dose  
11 goes up. I'm not talking about using it to quantify a  
12 particular dose-response relationship.

13 DR. BUDROE: We do include trend tests -- trend  
14 tests --

15 PANEL MEMBER GLANTZ: Trend tests.

16 DR. BUDROE: -- trend tests results for --

17 PANEL MEMBER GLANTZ: I didn't see the --

18 DR. BUDROE: -- both tumor types.

19 PANEL MEMBER GLANTZ: Well, maybe I missed it,  
20 but I didn't see it in those two tables.

21 PANEL MEMBER BLANC: I don't believe it was in  
22 the footer of the tables Was it somewhere buried in the  
23 text?

24 PANEL MEMBER GLANTZ: Yeah, because I went  
25 through this and -- I'm just trying to find the tables.

1 DR. BUDROE: Table 17.

2 PANEL MEMBER BLANC: Are you talking about  
3 what --

4 DR. BUDROE: Yeah, we actually note there was a  
5 significant trend test for dose response in the female  
6 mouse thyroid follicular cell adenomas. And that's in  
7 footer of the table.

8 PANEL MEMBER GLANTZ: Oh, okay. I was actually  
9 thinking maybe that -- okay. I was actually thinking  
10 about a couple table -- earlier tables. But maybe  
11 that -- that wasn't -- let me see if I can find them. I  
12 don't think this materially affects the report. That's  
13 why I didn't mention it. Let me see if I can find where  
14 it is.

15 DR. BUDROE: Yeah, we also mention it in Table  
16 10, where we have the uncorrected tumor incidences. We  
17 include the trend test in the footer also.

18 PANEL MEMBER GLANTZ: I guess, the one -- Oh,  
19 okay, the one -- wait. Well, that wasn't it. Hang on.

20 Maybe I'm missing it here.

21 PANEL MEMBER BLANC: I think our confusion  
22 relates to the earlier table that presents the same animal  
23 data, but not reduced. I mean, this is a synopsis, right,  
24 Table 10, but doesn't the same animal study appear earlier  
25 in the document? Separately for the renal tubules and

1 then the thyroid, is that not correct?

2 PANEL MEMBER GLANTZ: You know, that -- I have to  
3 say, Paul, now that I'm looking at one more place --

4 DR. BUDROE: We have the trend test results.

5 PANEL MEMBER GLANTZ: Yeah, just let me -- I  
6 think. I think what happened -- let me -- because the  
7 reason I didn't say anything -- now that I look back at my  
8 notes here, is that as I was reading it, I had that  
9 question, but then you answered it.

10 So they actually did do the -- the stuff he's  
11 talking about they did in the revised version.

12 PANEL MEMBER BLANC: Got you.

13 PANEL MEMBER GLANTZ: So I -- yeah, so I was like  
14 going through -- I'm just looking at my notes where I said  
15 why didn't they do this. But then I went on and found you  
16 did it, so never mind.

17 (Laughter.)

18 PANEL MEMBER GLANTZ: So I'm happy.

19 (Laughter.)

20 CHAIRPERSON KLEINMAN: Thank you.

21 PANEL MEMBER GLANTZ: That's why I thought I was  
22 happy, but then Paul got me all confused.

23 (Laughter.)

24 PANEL MEMBER BLANC: It's all my fault.

25 (Laughter.)

1           PANEL MEMBER GLANTZ:  Everything is always your  
2 fault.

3           CHAIRPERSON KLEINMAN:  Paul, did you have any  
4 additional comments?

5           PANEL MEMBER BLANC:  I want to make sure I  
6 understand the rational argument here just for my own  
7 edification.  So the argument is -- or the way of  
8 addressing the challenge of the lack of data is that the  
9 metabolite of this chemical in small animal tests is a  
10 chemical which has been shown to be carcinogenic as per  
11 the tables we were just talking about.  There's no human  
12 metabolic data for this chemical, and therefore by  
13 analogy, you rely on the metabolic data for MTBE, for  
14 which there is human data, and the presumption that since  
15 the enzymatic pathway relies on metabolic enzymes that are  
16 present in humans, it's a reasonable presumption that  
17 the -- that the chemical would be metabolized to the same  
18 carcinogen, is that correct?

19           DR. BUDROE:  That is correct.  And especially  
20 since in rats the bulk of the TBA production is going to  
21 be through carboxy -- carboxylesterase activity.  And  
22 there's, you know, numerous CEs in humans, and they have a  
23 broad -- they're not narrowly specific, they're broadly  
24 active.

25           PANEL MEMBER BLANC:  Right.  So --

1 DR. BUDROE: So it's -- we believe it's a  
2 reasonable assumption to make that that same thing is  
3 going to happen -- process is going to happen in humans.

4 PANEL MEMBER BLANC: So I think at least in your  
5 summary, but elsewhere, it would be perhaps more  
6 conservative to say the presumptive human metabolite or --  
7 because you -- what you state is it's metabolized to  
8 this -- this entity, this moiety, but, in fact, it's  
9 metabolized in rodents to that moiety, and presumptively  
10 also in humans. So I think that just for -- it would be  
11 better science if the word "presumptive" was inserted  
12 strategically.

13 DR. BUDROE: Okay. We can do that.

14 PANEL MEMBER BLANC: And then I have a very  
15 quirky question, which may be absurd, but there were  
16 tertiary-butyl acetate ester pharmaceuticals on the  
17 market, steroids specifically. And I just was wondering  
18 was there never any data filed with the FDA on the  
19 metabolism of that? It may be more than one  
20 pharmaceutical, but the one I know about is prednisolone  
21 one of the corticosteroids was or may still be marketed as  
22 the tertiary-butyl acetate ester. Did you ever look at  
23 that or hear about that?

24 DR. BUDROE: We didn't pull it up on any of our  
25 literature searches. And sometimes some of that -- some

1 of the FDA filing data can be pretty difficult to acquire.

2 PANEL MEMBER BLANC: Yeah, I just think it would  
3 be -- I mean, because so much of this -- you know, you're  
4 forced, from the lack of data, to go down this route,  
5 which I'm not saying is unreasonable, but it would be  
6 really nice to be able to either say there is some data  
7 from that, or there's no data for that either.

8 I don't want to hang up the document on that, but  
9 I was just -- and I could be completely wrong that that's  
10 what it's called, but it's not really an ester of that.  
11 It -- just looking at it quickly, it seemed to be that's  
12 what that was.

13 DR. BUDROE: We can take a look and see if  
14 there's any data out there that we can get a hold of in  
15 that respect.

16 PANEL MEMBER BLANC: And similarly on the lack of  
17 data front, there are two -- there's a statement that's --  
18 I understand why you said it, but it's somewhat, on the  
19 face of it, contradictory, which is you say that there's  
20 no occupational data. Which is true in the sense of I  
21 couldn't at least find any work-related investigations.  
22 And then you quote occupational exposure data, which is  
23 based on ILO statements or something.

24 But I think you have to use some wording which  
25 makes clear what you mean when you say there's no



1 occupational data, like there's no occupational data  
2 that's -- there's no published occupational data on  
3 specific work-site investigations or case reports or  
4 whatever. That it's -- somebody came up in the ILO with  
5 these statements about what happened, it's irritating,  
6 it's this, it's that, right? But you don't have any -- we  
7 don't know if that was personal communications or what --  
8 where is that derived from.

9 But still, you can't on one page say there's no  
10 occupational data and then on another page say the  
11 occupational exposures are associated with X, Y, and Z.

12 DR. BUDROE: We can make it clearer that what  
13 we're referring to is we haven't been able to find any  
14 occupational studies published.

15 PANEL MEMBER BLANC: Well, any specific -- some  
16 wording that makes it clear what it is you mean when --

17 DR. BUDROE: Right. We'll -- we'll endeavor to  
18 clarify that.

19 PANEL MEMBER HAMMOND: Yeah, I also --

20 PANEL MEMBER BLANC: Because it must be --

21 PANEL MEMBER HAMMOND: Yeah, I don't want to  
22 interrupt except but I am, of course.

23 I think -- I have several comments on the  
24 occupational data. But I don't know if you want to do  
25 this in topics or just go around to the people. I defer

1 to you, Michael.

2 I can't hear you.

3 PANEL MEMBER GLANTZ: I had one --

4 CHAIRPERSON KLEINMAN: Kathy. Yeah, we can hear  
5 you. Stan has another comment that he wanted to interject  
6 and then we'll pass the baton to you.

7 PANEL MEMBER HAMMOND: Okay. Thank you.

8 PANEL MEMBER GLANTZ: Just to show that I did  
9 read it. Actually, you have the name of that office  
10 wrong. It's the International Labor Organization not  
11 Office. So that's on line 199 of the track changes  
12 version.

13 CHAIRPERSON KLEINMAN: Paul, did you have  
14 anything further?

15 PANEL MEMBER BLANC: No. Those were my salient  
16 points.

17 CHAIRPERSON KLEINMAN: Thank you.

18 Okay. Kathy, I'll pass the baton to you.

19 PANEL MEMBER HAMMOND: Okay. I guess I'm looking  
20 at Table 1, and I'm looking at versions of tracks just for  
21 clarity.

22 I think that there -- for me, there are things  
23 that make it difficult to understand. Like there's an  
24 expression here, "near source". It took me a couple times  
25 looking at it to realize when we say near source, you mean

1 as a worker who's right there working with something? And  
2 then far source means that they're across the room. And I  
3 think here near source means out environmentally near the  
4 building in which the material shoots, is that correct?

5 DR. BUDROE: That's correct.

6 PANEL MEMBER HAMMOND: Yeah. So I think that  
7 that probably should be clarified. I don't know, I would  
8 rather -- rather than putting near source, I'd say  
9 something like near a building or where it's being used,  
10 because otherwise it's just -- there's a whole other  
11 research area that does -- uses different terminology.

12 And then if you look at the first two lines of  
13 data 1 hour concentration, and it's the big -- and these  
14 are really modeled exposures not measured, as I understand  
15 it, is 269 ppb, whereas being near source, which means an  
16 environmental exposure. And I'm not sure how near that  
17 is, if the paper tells you that. But if it does, we  
18 should put that in, like 50 feet away or whatever it is.

19 But that must be some particular distance and  
20 that should be in the -- defined there. That's higher.  
21 That doesn't make sense. Occupational exposure is less  
22 than far from use.

23 DR. BUDROE: Okay. Well, we do, to some degree,  
24 define what a near-source concentration is in the figure  
25 legend. Near source concentrations were estimated at the

1 facility fence line are 20 to 30 meters from the point  
2 source, unless otherwise noted.

3 PANEL MEMBER HAMMOND: Yes. So, you know, I  
4 would say 20 to 30 meters from the facility. So like, you  
5 know -- or just facility -- but I wouldn't call it near  
6 source, because near source, in my world, means that  
7 you're using the material directly, okay? And I don't  
8 think we should mix those things.

9 But then secondly, there's just these values  
10 don't make sense. You've got a consumer having -- these  
11 first three acute exposure scenarios do not make sense,  
12 and --

13 DR. BUDROE: Right. Well, this was taken from  
14 Bus 2014, and it's a compilation of a number of different  
15 modeling es -- modeling scenarios. And we don't really  
16 vouch for whether they did these correctly or not. We're  
17 just essentially reporting what was published in the  
18 literature. So, you know, if you went and looked at --  
19 went back and looked at the modeling assumptions, I can't  
20 guarantee that they do make sense, but, you know, this is  
21 what --

22 PANEL MEMBER HAMMOND: Yeah, but if you were --  
23 if you were looking at a toxicity study, and you saw a  
24 fatal flaw in how they did something, you would note it,  
25 you know, or you might even choose not to use it. I don't

1 think that just copying what's in the literature is  
2 appropriate. You know, this may include assumptions of  
3 using respiratory protection. I have to assume that  
4 that's what's there, but it doesn't say that, and I'm not  
5 totally convinced that in all auto repair shops that they  
6 actually use respiratory protection. And if they do, that  
7 it's effective respiratory protection.

8           There may be implicit respiratory protection, you  
9 know, assumptions here, but it's not. And it is mentioned  
10 below that everybody does it, but I'm not sure everybody  
11 does it. And if they do, it's probably paper masks that  
12 are not all that effective.

13           So beyond that, I -- since most of these things  
14 are not -- I think there should at least be an indication,  
15 are all of these measured -- or, I mean, calculated --  
16 just estimates calculated or are they measured?

17           And if they're estimated calculated, several of  
18 these things just -- you know, they're too -- they're not  
19 different enough to be worth anything. If they're  
20 actually measured, then that's useful.

21           DR. BUDROE: Well, they are all modeled  
22 concentrations. We do mention in the figure legend that  
23 we were only able to confirm the model concentrations  
24 attributed to ARB.

25           PANEL MEMBER HAMMOND: And -- yeah. Anyhow, I

1 just am concerned that the occupational level is  
2 tremendously underestimated. Perhaps there could be in  
3 the scenario listed at least an indication which of these  
4 scenarios are measured values, as opposed to calculated.

5 DR. BUDROE: Okay. Well, then none of them are  
6 measured. They're all calculated.

7 PANEL MEMBER HAMMOND: That's what I thought.  
8 Yeah.

9 DR. BUDROE: We could make that more explicit in  
10 the text.

11 PANEL MEMBER HAMMOND: Right. Yeah. Well, as I  
12 say, I don't -- and this is a minor thing, but in the  
13 acute exposure scenarios, if you're going to use  
14 scientific notation, use scientific notation. So, for  
15 instance, rather 461 times 10 to the 3rd, I'd suggest 4.61  
16 times 10 to the 5th, because at first it's a little  
17 jarring to see the microgram per cubic meter to ppb.

18 But I really do have to say I don't believe the  
19 occupational number. And these chronic exposure  
20 scenarios, these are all environmental, correct? None of  
21 these are occupational, if I understand it.

22 Oh, I see. Then it's occupational down here.  
23 But the near source should be, you know, again made  
24 clearer, which ones are -- that it's at the facility fence  
25 line or 20 to 50. Near the facility would be better than

1 near source. Maybe that would be the simpler way to put  
2 it. And then have the footnote, you know, at that point,  
3 where you do talk about it in -- I know it's down there in  
4 the footnote. I read that.

5 But again, the occupational numbers, the first  
6 couple are not credible. And then you look at the others,  
7 and they begin to be credible. So I don't even know what  
8 they're doing there. How they get those -- those  
9 scenarios. I did not go back to the original paper, but I  
10 have difficulty believing those values. Is Kathy Vork  
11 there? Maybe she can answer that.

12 DR. BUDROE: Kathy Vork is present, but she  
13 didn't work on this section of the document.

14 PANEL MEMBER HAMMOND: Oh, okay.

15 DR. BUDROE: Rona Silva did. She's here at the  
16 table.

17 PANEL MEMBER HAMMOND: Okay. Anyhow, those were  
18 my concerns. I don't know did anybody actually take a  
19 careful look at this table, or is it just kind of put in?  
20 I believe it's a little misleading.

21 CHAIRPERSON KLEINMAN: John, let me ask, when you  
22 have numbers with these kinds of disparities, you know,  
23 how are those scenarios, you know, played into your final  
24 determinations?

25 DR. BUDROE: It doesn't play into our final

1 determinations at all. This is really just more  
2 informational. I mean, actually the comment was made by  
3 the Panel at the last meeting that we should include some  
4 of the exposure scenario information that Bus published.  
5 And he in turn pulled it from a number of different -- I  
6 think developed some of it himself, and the bulk of it was  
7 developed by different air districts or ARB. So we  
8 included it essentially in the front part of the document  
9 as informational item. But it actually won't go into the  
10 appendix B part of the cancer TSD.

11 CHAIRPERSON KLEINMAN: Okay. It might be worth  
12 putting in a sentence to that effect --

13 DR. BUDROE: Okay.

14 CHAIRPERSON KLEINMAN: -- that, yeah, these are  
15 here for informational purposes to give, you know, an idea  
16 of the range of potential exposures based on models.

17 DR. BUDROE: We can do that.

18 PANEL MEMBER HAMMOND: Okay. That's all. As I  
19 say, I would rather see, if you don't think it's too  
20 distorting of what Bus said, rather than "near source",  
21 maybe call it "near facility".

22 DR. BUDROE: We can do that.

23 CHAIRPERSON KLEINMAN: Okay. Beate, did you have  
24 any comments?

25 PANEL MEMBER RITZ: No, I'm fine. Thank you.



1           CHAIRPERSON KLEINMAN: Thank you.

2           Cort.

3           PANEL MEMBER ANASTASIO: No, I didn't have any  
4 comments either.

5           CHAIRPERSON KLEINMAN: Joe, I know you've --  
6 knowing you, you probably did take a look at the document.  
7 Do you have any comments?

8           PANEL MEMBER LANDOLPH: Yeah, I did. I read it  
9 fairly carefully. And I wanted to congratulate the  
10 authors and their team, which I think did a very strong  
11 job, very thorough job of putting the document together.  
12 I think they answered SRP's comments in a fair spirit of  
13 peer review, and they answered the Lyondell comments in a  
14 fair spirit of peer review.

15           So I thought the document was written in a fairly  
16 balanced fashion, and I agree with most of its comments.

17           I was looking at the TA102 data, and I could see  
18 there was a little bit of variability from lab to lab  
19 there. One lab says yes, one lab says no. So clearly,  
20 the genotoxicity is still not completely nailed down. And  
21 that's okay. That's fine.

22           And I agree that it is a carcinogen and can be  
23 treated as such, and that you can make calculations like  
24 you've done. So I came into this in mid-stream, so I'm  
25 not as critical as the rest of you, but I think the

1 document is pretty good.

2 CHAIRPERSON KLEINMAN: Okay. Thank you.

3 So I think that's -- everybody has had an  
4 opportunity to provide some additional comments.

5 I do have a few things in writing that I will  
6 pass on. But just to poll the Panel, does anybody feel  
7 that there are any major issues that would require the  
8 document to come back before the Panel?

9 Okay. The answer to that was no.

10 Therefore, what I'd like to do is ask for a  
11 motion to request that the document be revised according  
12 to the comments that we provided today. And that if the  
13 Panel will give me the purview to do this, I'll have the  
14 document returned to me. I will just go through it and  
15 make sure the comments have been dealt with, and then we  
16 will be able to call the case closed, because the State  
17 law requires that the agency, OEHHA, seeks our advice and  
18 recommended changes. And I think that by have -- you  
19 know, once we have these final changes in, the Panel will  
20 have fulfilled its statutory obligations, and so I'd like  
21 to ask for a motion to do that.

22 PANEL MEMBER ARAUJO: I have just one comment  
23 and -- prior to that, that is in relation to the point of  
24 the near source as Kathy was alluding to. So I'm not an  
25 expert on this by any reasons. I'm just trying to

1 understand your point of view, Kathy. So if it is a -- so  
2 is it universally accepted let's say from where you're  
3 coming from near source is how you define it, as opposed  
4 to how it's defined by the authors. And I assume that  
5 perhaps is.

6           However, I also understand why is it that you put  
7 the near source in the table, because that how it appear  
8 in the paper probably, right?

9           DR. BUDROE: (Nods head.)

10           PANEL MEMBER ARAUJO: And the definition of the  
11 near source is in the legend of the table. But you still  
12 have concerns that this definition is not -- doesn't  
13 reflect the more generally-accepted definition. So you  
14 propose to change it to another term, and --

15           PANEL MEMBER HAMMOND: Yes, you have -- you  
16 understand correctly. What I was saying maybe it could  
17 just be near facility.

18           PANEL MEMBER ARAUJO: But that introduces another  
19 problem, which is when people go and go to the original  
20 source, or paper, so they would see what it was they were  
21 talking about. So why they're calling this near source  
22 versus near facility or whatever. What if, and instead,  
23 you know, he either puts like quotation marks on the near  
24 source, one possibility, or another possibility that he  
25 specifies in the legend of the table near source as

1 defined by the authors in this paper is, and then gives  
2 the definition.

3 But I think that there is a value of keeping the  
4 terminology just as it was used in the paper to facilitate  
5 understanding of the document when people go to the  
6 original source. What do you think?

7 PANEL MEMBER GLANTZ: Well, I -- this is Stan.  
8 Well, I would -- I think that's a good suggestion, but I  
9 would actually -- to have the document itself clearer, I  
10 think the suggestion of saying "near facility" in the  
11 table, and then in the footnote say in the paper this is  
12 called "near source", so that the language in the table --  
13 I mean, this is a point I missed when I went through it.  
14 But I think now that it's been brought up, you can say  
15 near facility in the table, and then just have a footnote  
16 that says in the original paper, the language that was  
17 used was near source. And I think that will -- that will  
18 get at what you're saying --

19 PANEL MEMBER ARAUJO: Right.

20 PANEL MEMBER GLANTZ: -- and also fix the thing  
21 Kathy was worried about.

22 PANEL MEMBER ARAUJO: I would agree, absolutely,  
23 yes.

24 PANEL MEMBER HAMMOND: I agree.

25 CHAIRPERSON KLEINMAN: Okay. On that note.

1 John, you can handle those kinds of changes?

2 DR. BUDROE: We can definitely handle those  
3 changes.

4 CHAIRPERSON KLEINMAN: Okay.

5 PANEL MEMBER GLANTZ: So I'll move the motion  
6 that the Chair suggested.

7 PANEL MEMBER BLANC: You have to restate the  
8 motion.

9 PANEL MEMBER GLANTZ: Oh, I do. Okay. Well, so  
10 I move that the Panel approved the report, subject to the  
11 minor clarifications that have been discussed at the  
12 meeting, and that the updated report be delivered to the  
13 Chair for approval. And should the Chair think there's  
14 anything substantive, he can always bring it back to the  
15 Panel. But if the Chair finds that you've sub -- you've  
16 correctly made the changes the Panel suggested, then the  
17 report would be finished.

18 PANEL MEMBER BLANC: I'll second the motion.  
19 Paul Blanc.

20 CHAIRPERSON KLEINMAN: All in favor here?  
21 (Hands raised.)

22 CHAIRPERSON KLEINMAN: Everybody is unanimous.  
23 Kathy?

24 PANEL MEMBER HAMMOND: Aye, yes.  
25 Aye.

1           CHAIRPERSON KLEINMAN: Okay. It is passed  
2 unanimously.

3           PANEL MEMBER RITZ: Mr too, Beate.

4           CHAIRPERSON KLEINMAN: Oh, I thought I heard you  
5 say it.

6           Okay. Thank you, Beate.

7           All right. That concludes the session on TBAC.

8           We are, wow, remarkably on time. And we're ready  
9 to start a briefing on chlorpyrifos. Now, this is an item  
10 that's going to be presented by Department of Pesticide  
11 Regulation on the Toxic Air Contaminant Program for  
12 Pesticides. And they'll be giving us an introduction to  
13 their risk assessment for chlorpyrifos proposing that it  
14 be listed as a toxic air contaminant.

15           By law, the health risk assessment supporting a  
16 proposed listing of a substance as a toxic air contaminant  
17 is to be reviewed by the SRP.

18           So as a background, Division of Pesticide  
19 Research published a draft risk assessment in December of  
20 2015, and received several comments from stakeholders  
21 after a public review. In August of 2017, DPR published a  
22 second draft, made it available for public comments which  
23 closed on October 2nd of 2017. DPR staff has since  
24 revised the document in response to those comments. And  
25 those comments were sent to this Panel a few days ago for

1 the initiation of our peer review.

2 By law, the Panel must review the scientific data  
3 on which the report is based, the scientific procedures,  
4 and methods used to support the data, and the conclusions  
5 and assessments on which the report is based.

6 If the Panel finds that the report is not  
7 sufficiently deficient, we must submit written findings to  
8 the DPR Director, who decides if a pesticide should be  
9 listed as a toxic air contaminant. So if the Panel finds  
10 a report is deficient, then we will advise the Director  
11 for accordingly.

12 Because the document was sent to the Panel too  
13 soon before the date of this meeting, and also it has been  
14 a long term before -- since the Panel considered a  
15 proposed toxic air contaminant, we've asked DPR staff to  
16 provide us with a background briefing on the chemical, and  
17 an overview of the DPR report, and a description of some  
18 charge questions that DPR is specifically asking the Panel  
19 to address.

20 The DPR chlorpyrifos report -- chlorpyrifos --  
21 sorry -- report dated December 11th, 2017 has been sent to  
22 the Panel. It has been posted on the DPR website, and  
23 that has been noted on the public notice for this meeting.

24 Let me also take note that the Panel received  
25 written comments in a letter dated December 4th from

1 Californians for Pesticide Reform, and attached to their  
2 letter, they also included a copy of their technical  
3 comments dated October 2nd, 2017 submitted to DPR on the  
4 August 2017 chlorpyrifos public review draft.

5 They also sent a copy of comments from Health  
6 Professionals submitted to the U.S. EPA supporting U.S.  
7 EPA's 2016 revised human health risk assessment for  
8 chlorpyrifos, and also a copy of a letter dated October  
9 2nd, 2017 to the DPR Director from 84 organizations  
10 calling for greater protections from chlorpyrifos for  
11 California's children.

12 The Panel is going to review those comments as  
13 part of its review of the report. So with that, I'd like  
14 to turn the meeting over to Marylou Verder-Carlos who is  
15 the Assistant Director of DPR who will begin the  
16 presentation.

17 PANEL MEMBER GLANTZ: Can I just say one thing?

18 CHAIRPERSON KLEINMAN: Oh, sorry. Yes, Stan.

19 PANEL MEMBER GLANTZ: So I'd just like to make a  
20 couple process comments. On the report that we just  
21 finished, which we all thought was pretty good. It took a  
22 year. And I think the law says it's supposed to come --  
23 after this Panel has considered a report, it's supposed to  
24 come back to us in something like 60 or 90 days. And, you  
25 know, I realize these things are complicated, and



1 sometimes it takes a little longer, but -- I'm not talking  
2 about the DPR, I'm talking about the previous report.

3 But I think a year is ridiculous. And I think  
4 that, you know, you need to come -- at least come close  
5 to -- I'm talking to -- hi, John. I'm just complaining  
6 that, you know, the previous report took a year to come  
7 back to us. And I think the law says it's supposed to be  
8 60 or 90 days.

9 So I think that, you know, you need to make a  
10 effort to at least come close to that. So I don't know if  
11 you want to say thinking about how mean I am, but...

12 (Laughter.)

13 PANEL MEMBER GLANTZ: And then I'll talk about  
14 DPR.

15 (Laughter.)

16 PANEL MEMBER GLANTZ: Yeah. Did you want to say  
17 anything or -- I'm -- or I just want to put that on the  
18 record. You don't have to respond. But if you want to,  
19 I'd love for you to do it.

20 DR. BUDROE: I would say that because of the  
21 technical complexity of the document that this was a  
22 special case, and we don't envision this happening in the  
23 future. It was also partly meeting scheduling also.

24 PANEL MEMBER GLANTZ: Okay. But even so, I think  
25 that the -- you know, the changes that were made to the

1 working part of the document near the end, which actually  
2 led to the risk assessment were not gigan -- I mean, there  
3 were some substantial changes there that did affect the  
4 risk estimates, but, I mean, most of the changes were the  
5 background material that was added.

6           And I just think, you know, a year is like way  
7 longer than 60 to 90 days. And we have had, in the past,  
8 a few things. And I'm not saying this has happened in  
9 this case. But there have been a couple of reports that  
10 are basically getting sat on for political reasons. And  
11 I'm not saying that happened here, but I do think that the  
12 agency needs to -- if you're not going to at least come  
13 close to what the requirements in the law, at least there  
14 should have been some kind of justification for that.

15           And I think that's very important moving forward  
16 to get back to where we're -- I mean, I think that the  
17 timetable written into the law is one of the good things  
18 about the law. And I think that the -- that the agency  
19 needs to -- you know, if you can't meet them exactly, to  
20 not have it take a year.

21           That -- I mean, I don't want to hijack the whole  
22 meeting for that, but I was troubled by that.

23           DR. BUDROE: Okay. We will definitely endeavor  
24 to have a faster turn around on documents that the Panel  
25 wants.

1           PANEL MEMBER GLANTZ: Yeah, and again, the law  
2 has a specific requirement in it. It isn't like me making  
3 that up, so we should -- so anyway.

4           And with regard to DPR, I have to say having this  
5 huge report dumped on us two days -- or a day or two  
6 before the meeting and all the -- and I would say this to  
7 the public commenters, too, that, you know, I understand  
8 that this is -- we're just being briefed to help us get  
9 going into the document, but it would have been nice to  
10 have gotten it a week or two in advance, especially given  
11 the length and complexity of it, and the fact that this is  
12 going to be controversial. To at least have had a chance  
13 to look at the document, and look at the public -- not  
14 study them, you know, but to at least look through them to  
15 come to this meeting with some idea of what questions we  
16 had. It would have made this a much more effective  
17 briefing.

18           I mean, it's like when I tell my students in my  
19 statistics class, try doing the problems before I lecture  
20 on them. You don't have to do the problems. You don't  
21 have to turn your homework in. But if you try them a  
22 little bit, you'll come in with a lot sharper questions in  
23 your mind and you'll get a lot more out of the lectures.

24           And, you know, I think that's the case here. I  
25 mean, I actually did make an attempt, but it's just

1 impossible you know. I mean, I got to read -- I read your  
2 charge. I opened the document and saw that it was very  
3 thick after I printed it out. And I spent a -- I like  
4 looked at the public comments, which is what I usually  
5 start with when I do this, enough to see that there were  
6 some -- there was a broad spectrum of opinions being  
7 represented there.

8           But if I had had a week, or better yet two weeks,  
9 to do that, even though I wouldn't have been coming in  
10 here with detailed comments on anything, this briefing  
11 would have been way more useful. And I just never want to  
12 get a pile of stuff dumped on me a day or two before the  
13 meeting, you know.

14           And, I mean, the public commenters do that too  
15 sometimes, which I think is not in their interest,  
16 because -- because this -- these reports are highly  
17 technical, and difficult in many cases. And having the  
18 time to be able to go through what everybody says  
19 carefully and think about it a little bit before we come  
20 in here is, I think, one reason this process has worked so  
21 well.

22           And we just -- and I think if there's some way to  
23 tell the public that they -- you know, if they -- that  
24 they can't depend on us to read anything they send in two  
25 days before the meeting. I mean, it's a joke.

1           So I hope this never happens again on this report  
2 or anything else.

3           CHAIRPERSON KLEINMAN: Well, I'll take partial  
4 blame for having the report delivered at the last minute.  
5 And my rationale was that we had gotten access to the  
6 early draft, which went out for public comment. And the  
7 public comments were quite extensive and did require a lot  
8 of rewrite.

9           And I felt that since we -- you know, the public  
10 draft had been available, and we had some idea of what the  
11 comments were, I thought it would be useful, even though  
12 we were not going to review it today, for us to get this  
13 preliminary presentation on it.

14           So the intent is not to --

15           PANEL MEMBER GLANTZ: No, I -- I agree that the  
16 preliminary presentation is a good idea. I think it's  
17 going to be very helpful in going through the document and  
18 the public comments and, you know, thinking about it.

19           But what I'm objecting to is that, you know, I  
20 mean, if you're going to send us all this stuff, send it  
21 to us with enough time to at least look at it, you know,  
22 without trying to do it at midnight the night before. I  
23 mean, it's just there -- to me, I mean, it's just like --  
24 and I don't want to go on and on.

25           I mean, I just got in a huge argument with the

1 FDA about this on a different issue. But the -- but you  
2 know, it's like students turning in, you know, term  
3 papers. You know, if you push -- if you guys had just  
4 maybe worked all night or a couple of weekends a couple  
5 weeks ago, you could have gotten us this stuff in enough  
6 time to at least look at. I'm not saying study it.

7 But, you know, if you're going to send it to us  
8 before we had this meeting today, which I think in  
9 principal was a good idea, I think it was a very good  
10 idea, but you know, we should have gotten it in enough  
11 time to let people look at it, given that this is not the  
12 only thing we do in our lives.

13 CHAIRPERSON KLEINMAN: Yeah. Originally, it  
14 might not --

15 PANEL MEMBER GLANTZ: I'll stop beating that, but  
16 I was --

17 CHAIRPERSON KLEINMAN: Yeah. Originally, my  
18 thought was just to prevent that kind of, you know,  
19 negative reaction just to send the executive summary,  
20 which was only 13 pages and then --

21 PANEL MEMBER GLANTZ: Well, even that -- and I  
22 didn't want to take too much time --

23 CHAIRPERSON KLEINMAN: But, yeah, I agree that we  
24 won't --

25 PANEL MEMBER GLANTZ: But even that, given

1 everything else I've been having to do and all the other  
2 deadlines I've had to meet because of my day job, you  
3 know, it just -- even to read a -- because I tried to read  
4 the executive summary like at 11:30 at night when I was  
5 exhausted. And it's just -- it does not serve the process  
6 well.

7           And I think if somebody has got to stay up all  
8 night on this, it should be you guys, to get us in enough  
9 time that we can, you know -- I mean, I think this would  
10 have been a much more useful meeting had I had the time to  
11 read the executive summary when I was awake.

12           And I just think you need to -- I mean, you need  
13 to just -- this has been cooking for a long time. And  
14 you've been working on it for a long time. And having,  
15 you know, doing whatever you need to do to get us just --  
16 even just a week before the meeting. And it would have  
17 been better to have been two weeks, you know, so we could  
18 have not come in here with this huge pile of stuff sitting  
19 on my dining room table making me feel guilty.

20           So I don't really want to be ever put in that  
21 position again as a member of this panel. I just -- and  
22 again, the same thing holds for the public, because we  
23 have had substantive -- not on this necessarily, although  
24 to some extent on this.

25           But we've had other documents come through where

1 we get lengthy public comments coming in at the last  
2 minute, and OEHHA has actually managed to have responses  
3 to them at the meeting. But it's way better to have not  
4 only the comments, but OEHHA's responses before the  
5 meeting so we can -- at least for me personally, so I can  
6 read it and I think about it before I come in here. But  
7 then you can't get in a position of jamming OEHHA either.

8           So I think there needs to be some, you know, real  
9 discipline imposed on this process if it's going to work  
10 well.

11           So I'll stop having -- I mean, but I got real --  
12 I mean, because I try to take this very seriously. And I  
13 feel really bad that I got all this stuff sent to me and I  
14 didn't get a chance to even skim it.

15           CHAIRPERSON KLEINMAN: Well, I agree that this  
16 has not been optimal, but we will have the chance to go  
17 into this in-depth next month. But for now, I'd like to  
18 turn this over to Marylou to give us the briefing.

19           Thank you.

20           DPR ASSISTANT DIRECTOR VERDER-CARLOS: Good  
21 morning. I would like -- first of all, I'd like to  
22 introduce our team that worked on chlorpyrifos. While  
23 Randy and I are going to be doing the presentation, the  
24 team -- our team in DPR is composed of Shelley DuTeaux,  
25 our Branch Chief for Human Health Assessment -- Dr.



1 Shelley DuTeaux. Dr. Svetlana Koshlukova, our Risk  
2 Assessor Supervisor; and then Terry Barry, who is our  
3 exposure assessor; and Erik Kwok, the supervisor of the  
4 Exposure Assessment Branch -- Exposure Assessment Section;  
5 Marilyn Silva, who was the main risk assessor for this  
6 chemical; and also Carolyn Lewis, who worked on this. So  
7 we worked on this for, like you said, a long time.

8 So Dr. Glantz, we're -- we apologize that this  
9 was only two days before. But like Dr. Kleinman said, we  
10 would really like to have an in-depth discussion on this  
11 next month.

12 So anyway for -- then Randy Segawa, a special  
13 advisor for the Director, who is going to start the  
14 presentation. And then I'm going to take over in the --  
15 during the risk assessment part.

16 (Thereupon an overhead presentation was  
17 Presented as follows.)

18 DPR SPECIAL ADVISORY SEGAWA: Good morning. I am  
19 Randy Segawa, Special Advisor the DPR. And since it's  
20 been awhile since this Panel has reviewed a pesticide, and  
21 a number of you are new, we thought that we'd go over some  
22 background information first.

23 --o0o--

24 DPR SPECIAL ADVISOR SEGAWA: Some background  
25 information pesticide regulation in general, as well as

1 the toxic air contaminant requirements for pesticides, and  
2 then move on to a brief description of chlorpyrifos.

3 --o0o--

4 DPR SPECIAL ADVISOR SEGAWA: So to begin with,  
5 under the Federal Insecticide, Fungicide, and Rodenticide  
6 Act, what we call FIFRA, and some other federal and State  
7 laws, three agencies within California are responsible for  
8 the sales and use of pesticides. Those three agencies are  
9 the U.S. Environmental Protection Agency, the Department  
10 of Pesticide Regulation, which is part of CalEPA. DPR is  
11 composed of six program branches, and we have about 400  
12 employees.

13 And then the third agency are the county  
14 agricultural commissioners.

15 --o0o--

16 DPR SPECIAL ADVISOR SEGAWA: DPR has extensive  
17 programs to review, evaluate, and mitigate pesticides.  
18 We, of course, register pesticides and we develop  
19 mitigation measures. We have a similar process as U.S.  
20 EPA. In fact, the process starts with EPA registration.  
21 EPA reviews the -- any new pesticide first and makes a  
22 decision to register. If they do register it, then it  
23 does come to California. If there are no adverse impacts  
24 identified or those adverse impacts can be mitigated, then  
25 DPR would register the pesticides.

1           After registration, DPR has a continuous  
2 evaluation program, in which we catalog and evaluate  
3 pesticide illnesses. We do monitoring for our human  
4 exposure, particularly for workers. We do air monitoring.  
5 We do water monitoring, and we monitor residues in food.

6           We have an extensive enforcement program in  
7 conjunction with the county agricultural commissioners,  
8 and so we evaluate use and violations as those occur, and  
9 DPR does receive new studies from pesticide registrants or  
10 from others that we look at. As part of the continuous  
11 evaluation, we do have a health risk assessment program,  
12 where we prioritize and evaluate pesticides for toxicity  
13 and for exposure.

14           If we find that the risks are unacceptable, then  
15 DPR would undertake development of mitigation measures.  
16 That starts with DPR issuing a risk management directive.  
17 This document specifies the scope and the goals for the  
18 development of mitigation measures. What exposure  
19 scenarios need to be mitigated, and gives us some target  
20 levels to achieve.

21           And then we, of course, would develop those  
22 mitigation measures, not only for potential health risks,  
23 but potential environmental risks if needed.

24           DPR also has legal authority to request  
25 additional informational from pesticide registrants, if we

1 find that there is a potential problem and we can evaluate  
2 that data and incorporate it into our -- both or risk  
3 assessments as well as our risk mitigations.

4 --o0o--

5 DPR SPECIAL ADVISOR SEGAWA: In terms of use  
6 requirements, EPA, DPR, and county ag commissioners  
7 implement and enforce mitigation measures through use  
8 requirements.

9 And there are three types of use requirements.  
10 EPA specifies requirements through product labels. DPR  
11 has some statewide regulations regarding the use of  
12 pesticides, and then county ag commissioners for a certain  
13 set of, what we call, restricted materials can implement  
14 some more restrictive requirements as well.

15 And so DPR can designate some pesticides,  
16 including chlorpyrifos, as restricted materials. And  
17 these restricted materials have their own set of  
18 requirements. The applications need to be made or  
19 supervised by a certified applicator. A permit is  
20 required from the county ag commissioners before a person  
21 can purchase or use restricted material. And the county  
22 ag commissioner is required to evaluate specific  
23 applications sites and dates, then approve, deny, or  
24 condition a permit depending on that evaluation.

25 --o0o--

1 DPR SPECIAL ADVISOR SEGAWA: As I mentioned  
2 before, DPR is part of CalEPA divided into six program  
3 branches that you see sort of in the middle there. We  
4 have our Registration Branch, or Human Health Assessment  
5 Branch, Worker Health and Safety, Pesticide Enforcement,  
6 Pest Management and Licensing and Environmental  
7 Monitoring.

8 Brian Leahy is our Director, but the five people  
9 you see highlighted there, they will be your main contacts  
10 for toxic air contaminants led by our Assistant Director,  
11 Dr. Marylou Verder-Carlos. And then the risk assessment  
12 themselves are authored by the Human Health Assessment  
13 Branch, led by Shelley DuTeaux, And Svetlana Koshlukova,  
14 and Eric Kwok.

15 --o0o--

16 DPR SPECIAL ADVISOR SEGAWA: So moving on to  
17 toxic air contaminant requirements. OEHHA and ARB have  
18 their own set of requirements under Health and Safety  
19 Code. And then DPR has a separate set of similar  
20 requirements under the Food and Agricultural Code.

21 And under Food and Ag Code, Air Resources Board  
22 is required to monitor for pesticides in air at DPR's  
23 request. DPR is required to assess the human health risks  
24 from pesticides in air. We have some companion  
25 regulations that specify the criteria for designating a

1 pesticide as a toxic air contaminant, and then DPR is  
2 required to mitigate health risks from pesticides in the  
3 air as needed.

4 --o0o--

5 DPR SPECIAL ADVISOR SEGAWA: So first element of  
6 the TAC program is monitoring. This is primarily done by  
7 the Air Resources Board, but DPR also conducts some air  
8 monitoring. In general, there are two types of air  
9 monitoring, that's conducted. One, that we call  
10 application site monitoring. This is in the immediate  
11 vicinity of a field to estimate acute short-term  
12 exposures.

13 Then we also conduct ambient air monitoring.  
14 This occurs in communities or regions of high use during  
15 periods of high use to estimate seasonal or longer term  
16 exposures.

17 Then more recently, sort of separate from our TAC  
18 program, DPR now has, what we refer to, as an air  
19 monitoring network that was initiated in 2011. When we  
20 started in 2011, we were monitoring three communities  
21 statewide for 31 different pesticides and their breakdown  
22 products.

23 Beginning in 2017, we're in the process of  
24 expanding that air monitoring network. For this year, it  
25 currently includes four communities, then beginning in

1 2018 we'll expand it to eight. And that monitoring does  
2 include monitoring for chlorpyrifos.

3 --o0o--

4 DPR SPECIAL ADVISOR SEGAWA: Second element to  
5 our TAC program is risk assessment. Under State law, the  
6 risk assessment must include evaluation of certain issues,  
7 including the potency of the chemical, mode of action,  
8 levels that could cause adverse affects. Then in  
9 addition, State law requires OEHHA to provide its funding  
10 to the SRP for its consideration as well.

11 State law requires DPR's risk assessment to  
12 undergo review by OEHHA, as well as the Air Resources  
13 Board. In addition, DPR must release the draft risk  
14 assessment to the public. And then, of course, the  
15 Scientific Review Panel must also review the assessment to  
16 determine if it's seriously deficient based on the data,  
17 procedures, and methods used in the report, and the  
18 conclusions. And then after these reviews, DPR does  
19 finalize the risk assessment and moves on to risk  
20 mitigation.

21 --o0o--

22 DPR SPECIAL ADVISOR SEGAWA: If you haven't read  
23 the Food and Ag Code and you probably should at least --  
24 your portions that you're responsible, this is an excerpt  
25 out of State law, particularly as it pertains to the SRP.

1           And so it does layout the requirements of the  
2 issues that you need to review. Dr. Glantz also lays out  
3 the timeline that we're responsible for as well, both the  
4 Panel, as well as for DPR. And probably the key legal  
5 requirement is there in subsection (c), where the  
6 Scientific Review Panel determines that the health effects  
7 report, whether or not it is seriously deficient. That's  
8 the key finding that you need to make.

9                               --o0o--

10           DPR SPECIAL ADVISOR SEGAWA: After the review, is  
11 complete, then DPR's Director determines whether or not to  
12 designate a pesticide as a toxic air contaminant. We have  
13 the criteria in State regulations. And for non-cancer  
14 effects, that criteria has a threshold level that is 10  
15 times below the air concentration determined by the  
16 Director to be protective of human health, which is a  
17 little bit confusing. It's probably best to give you an  
18 example. We completed a risk assessment for chloropicrin  
19 back in 2010.

20           That risk assessment included a reference  
21 concentration of 4.4 parts per billion. And so DPR listed  
22 chloropicrin as a toxic air contaminant, because air  
23 concentrations that were monitored and modeled indicated  
24 that concentrations exceeded 0.44 parts per billion. And  
25 those pesticides that have cancer effects, there's also a



1 similar criteria for those.

2 --o0o--

3 DPR SPECIAL ADVISOR SEGAWA: DPR designates a  
4 pesticide as a toxic air contaminant using a formal  
5 rulemaking process, the process that is standard for the  
6 State of California. We also list federal hazardous air  
7 pollutants as toxic air contaminants as well. The  
8 flowchart there shows the -- can be lengthy process for  
9 doing rulemaking.

10 In the case of listing a pesticide as a TAC, it's  
11 somewhat more of an abbreviated process. There is a  
12 public hearing though. There's a public comment period.  
13 And, of course, DPR needs to respond to all the comments  
14 in writing. And so the process can take several months  
15 from beginning to end.

16 --o0o--

17 DPR SPECIAL ADVISOR SEGAWA: Once a pesticide is  
18 designated as a toxic air contaminant, then DPR must  
19 determine the need for and the appropriate degree of  
20 mitigation.

21 If mitigation measures are needed, then DPR would  
22 issue a risk management directive that does contain the  
23 regulatory target levels the air concentrations that we  
24 want to try to achieve. State law requires DPR to develop  
25 those mitigation measures within two years, or we need to

1 submit a report to legislature explaining why we weren't  
2 able to meet the two-year deadline.

3 And State law requires DPR to consult with  
4 specified agencies in developing the mitigation measures,  
5 including OEHHA, Air Resources Board, county ag  
6 commissioners, and some other agencies.

7 --o0o--

8 DPR SPECIAL ADVISOR SEGAWA: Currently, DPR has  
9 listed eight pesticides as being toxic air contaminants  
10 that went through the evaluation process. Those eight  
11 pesticides are listed there on the slide. In addition, we  
12 have listed 38 other pesticides, because they are  
13 designated as hazardous air pollutants under the federal  
14 Clean Air Act.

15 --o0o--

16 DPR SPECIAL ADVISOR SEGAWA: Any questions about  
17 what DPR does in general?

18 No. Okay. Moving on then to chlorpyrifos.

19 --o0o--

20 DPR SPECIAL ADVISOR SEGAWA: To give you some  
21 background information before we actually start discussion  
22 of the risk assessment. Chlorpyrifos is an  
23 organophosphate insecticide primarily used on agricultural  
24 crops. In the table there, you can see the annual use in  
25 California since 2013. Use on agricultural crops

1 comprises more than 99 percent of the uses. And so other  
2 uses could be things like golf courses, cemeteries, things  
3 like that, but the bulk of the use is for agricultural  
4 crops.

5           Dow AgroSciences is the registrant for the most  
6 highly used products, and you'll see some comments and  
7 responses from them as well.

8                           --o0o--

9           DPR SPECIAL ADVISOR SEGAWA: In the table, you  
10 can see that for the last few years, use has been  
11 declining. In fact, if you go back further to like the  
12 early 2000s or so, use of chlorpyrifos was in the  
13 neighborhood of two million pounds per year. And so since  
14 that time use has decreased significantly.

15                           --o0o--

16           DPR SPECIAL ADVISOR SEGAWA: Chlorpyrifos is used  
17 on more than 60 crops. It is one of the more commonly  
18 used pesticides in California. But there are a handful of  
19 crops that do account for most of the use. Almonds,  
20 alfalfa, orange and other citrus crops, walnuts, cotton,  
21 and grapes comprise the majority of the use.

22                           --o0o--

23           DPR SPECIAL ADVISOR SEGAWA: In terms of  
24 application methods, a little over a quarter of the  
25 applications are done by aircraft, both fixed wing

1 aircraft as well as helicopter. But most of the  
2 applications are by ground, usually either with a  
3 ground-rig, a ground boom sprayer or airblast sprayer.  
4 And if you're not familiar with airblast sprayer and that  
5 term, this is equipment used primarily for orchards and  
6 vineyards to spray the foliage.

7 And, of course, some of those orchards can be  
8 pretty tall, and so these airblast sprayer spray the  
9 chlorpyrifos, so it reaches the tops of the trees.

10 You might be interested as to what the breakdown  
11 is between the more specific application methods. And  
12 unfortunately, our Pesticide Use Reporting System doesn't  
13 give us the specific methods. It only gives us whether it  
14 was an air method, ground, or other.

15 --o0o--

16 DPR SPECIAL ADVISOR SEGAWA: In terms of where  
17 chlorpyrifos is used, it's primarily used in the Central  
18 Valley, as well as central coast and Imperial County  
19 regions. In the Central Valley, most use would be for  
20 almonds, walnuts, alfalfa, cotton, and grapes. In the  
21 central coast, it's actually primarily used for broccoli  
22 and other cold crops. Down in Imperial county, it's  
23 primarily alfalfa and cotton.

24 --o0o--

25 DPR SPECIAL ADVISOR SEGAWA: There are currently

1 some use restrictions to reduce the exposure to  
2 bystanders. Chlorpyrifos is a restricted material, and so  
3 it does require a permit from county ag commissioners. In  
4 addition, there are label requirements to address  
5 bystander exposures. And so between the EPA labels, and  
6 the county ag commissioner permit conditions, there are  
7 restrictions on how chlorpyrifos can be applied. In  
8 addition, there are some setback distances. Minimum  
9 distances between the sensitive sites and application.  
10 That distance varies with the method of application, and  
11 the rate of application.

12 And so for aircraft, depending upon the  
13 application rate, the distance -- the setback distance is  
14 between 250 to 500 feet.

15 For sprinkler and ground-rig applications, the  
16 distance is 150 to 400 feet. And for airblast sprayers,  
17 the distance is 150 to 500 feet.

18 --o0o--

19 DPR SPECIAL ADVISOR SEGAWA: And that's sort of  
20 it in terms of the uses and background information for  
21 chlorpyrifos.

22 Questions about that?

23 Okay. Moving then -- on then to the risk  
24 assessment then.

25 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Okay. So

1 we -- I just wanted to give you a short history of how we  
2 have -- chlorpyrifos has been assessed on the risk  
3 assessment side, since 1992 between EPA and DPR up to the  
4 present.

5           So in '92 and '93, DPR did a risk assessment on  
6 dietary, and occupational and indoor assessment. In 2006,  
7 U.S. EPA had issued a risk assessment, a final one and had  
8 a reregistration eligibility document that implemented  
9 mitigation measures and required setbacks and other label  
10 changes to protect bystanders, workers, and the  
11 environment.

12           And then in 2011, they also had a preliminary  
13 assessment, again after 2006. And in 2014, they had  
14 another revised risk assessment. In 2015, DPR issued our  
15 first draft risk assessment based on the 2014 risk  
16 assessment as well. And also at the same time, we  
17 designated chlorpyrifos as a restricted material in July  
18 of 2015.

19           We also then issued recommended permit  
20 conditions, like Randy said, to all county ag  
21 commissioners with more stringent requirements on the  
22 labels than the EPA labels that were out. In 2016, EPA  
23 issued one issue paper in April of 2016, and then a  
24 revised risk assessment in November of 2016.

25           In early 2016, they also then proposed to revoke

1 all tolerances -- food tolerances for chlorpyrifos, but  
2 then in March of 2017 of this year, they withdrew that  
3 proposal. And then for DPR in -- we issued a second draft  
4 risk assessment in August and then this one that you  
5 received a couple of days ago.

6 --o0o--

7 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Based on  
8 the August 2017 risk assessment, we also then based -- we  
9 also added more restrictions for the use of chlorpyrifos  
10 that was effective in October of this year as well.

11 --o0o--

12 DPR ASSISTANT DIRECTOR VERDER-CARLOS: So the  
13 scope of the --

14 PANEL MEMBER GLANTZ: Could I -- could I just ask  
15 a question --

16 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Sure.

17 PANEL MEMBER GLANTZ: -- because I remember  
18 reading about the EPA putting forward this proposal and  
19 then revoking it when the new administration came in.

20 And, I mean, historically, California, at least  
21 for all the things I've been aware of from being on this  
22 Panel, has usually been stricter than the federal EPA.

23 So given that the federal EP -- and usually about  
24 10 years ahead of them in their thinking too, I have to  
25 add.

1 (Laughter.)

2 PANEL MEMBER GLANTZ: So given that we had a  
3 situation where, you know, up until this March, the EPA  
4 was talking about simply revoking the food uses of these  
5 things, I mean, is that -- it just seemed again, based on  
6 my extremely cursory review of this, that you're not  
7 proposing to go as far as they were, is that true?

8 DPR ASSISTANT DIRECTOR VERDER-CARLOS: That is  
9 true. We -- I mean, based on the risk assessment, because  
10 we based our risk assessment in like -- on the 2014 risk  
11 assessment of EPA, the -- although we did review the 2016  
12 documents, we did not base our endpoints on that -- on  
13 those documents.

14 PANEL MEMBER GLANTZ: Well, so one thing -- and  
15 again, this would have been nice to have had a little more  
16 time to think about this, but I mean one question I'm  
17 going to have is like what was wrong with what the EPA did  
18 in 2016, and why are you not using it?

19 Because again, my -- and we've, in the past, had  
20 like joint workshops with the U.S. EPA on organophosphate  
21 pesticides. I remember one of them was held at UCSF. And  
22 you know, I walked out of all of those thinking that the  
23 California their thinking was much better, and more  
24 scientifically sound and less politicized than the U.S.  
25 EPA.



1           And so, you know, I think just so you're ready  
2 for the meeting, I mean, I'm going to want to know what's  
3 wrong with what the EPA did? And frankly, having the  
4 Trump EPA throw the report out is almost an endorsement of  
5 the early report.

6           (Laughter.)

7           PANEL MEMBER GLANTZ: You know, so I think that's  
8 going to be a very serious question that's going to need  
9 to be addressed here. And, you know, if -- I don't know  
10 if you've -- how much you've addressed it in the pile of  
11 stuff we got, but it better -- if it isn't really  
12 thoroughly justified in there now, I would strongly urge  
13 you to like do that tomorrow, and get it to us in enough  
14 time to read it and think about it, so that we can keep  
15 this process moving forward.

16           DPR ASSISTANT DIRECTOR VERDER-CARLOS: Thank you.  
17 And we will -- we have a more robust discussion about this  
18 hopefully in January, and when you have already read all  
19 the documents, but we did try to address that issue in our  
20 document.

21           PANEL MEMBER GLANTZ: Okay.

22           --o0o--

23           DPR ASSISTANT DIRECTOR VERDER-CARLOS: So the  
24 scope of the risk assessment is on non-dietary short-term  
25 exposures, and also the acute exposure on the dietary

1 side. And also, we did aggregate exposure, and only  
2 bystander exposures. And really for bystander it includes  
3 people around the site of application, who can be exposed  
4 to spray associated with the application. And it may  
5 include farm workers around that area and their families.

6 The handlers however are not included in this  
7 risk assessment. Chlorpyrifos is a very, very big  
8 database. And so, because, as a TAC also, we address  
9 bystander exposures and that's why this one is what we're  
10 addressing at this point.

11 Next slide.

12 --o0o--

13 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Oh. So at  
14 this point --

15 PANEL MEMBER HAMMOND: Excuse -- excuse -- excuse  
16 me. This is Kathy Hammond.

17 May I ask a quick question?

18 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Sure.

19 PANEL MEMBER HAMMOND: Yeah. So you're saying  
20 why -- clearly, there must be a reason. I did not  
21 understand why you're saying the applicators are -- risks  
22 are not being -- and exposures are not being addressed.

23 DPR ASSISTANT DIRECTOR VERDER-CARLOS: You mean  
24 the handlers, right, mixer, loaders, and applicators.

25 PANEL MEMBER HAMMOND: Yes.

1 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Well, for  
2 the TAC process, the bystanders is the risk that we are  
3 identifying. That's how we are going to assess the risk  
4 for bystanders. That's the TAC process for us.

5 PANEL MEMBER HAMMOND: Okay.

6 DPR ASSISTANT DIRECTOR VERDER-CARLOS: And we  
7 will be addressing the handlers once we get -- after we  
8 finish the bystander exposures.

9 PANEL MEMBER HAMMOND: Okay. So you will be  
10 doing it eventually?

11 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Yes.

12 PANEL MEMBER HAMMOND: Thank you.

13 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Oh, yes.

14 PANEL MEMBER BLANC: But not as part of this  
15 document?

16 DPR ASSISTANT DIRECTOR VERDER-CARLOS: No. Yeah.

17 PANEL MEMBER BLANC: And can we expect that there  
18 will be adequate air sampling data in your document, as  
19 this has been a continual -- continuing limitation in many  
20 of the pesticide-related documents --

21 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Yes.

22 PANEL MEMBER BLANC: -- that we have received?

23 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Yes. We  
24 have air -- we have included the air monitoring, because  
25 we've been monitoring for chlorpyrifos for a while with

1 ARB as well. So the document would have ambient air  
2 monitoring as well.

3 DPR SPECIAL ADVISOR SEGAWA: But as you'll read,  
4 we're relying primarily on computer modeling to estimate  
5 the exposures.

6 PANEL MEMBER BLANC: But are you -- are you  
7 seeing whether your computer modeling is consistent with  
8 the air sampling you've done in terms of validation.

9 DPR SPECIAL ADVISOR SEGAWA: Yes.

10 DPR ASSISTANT DIRECTOR VERDER-CARLOS: So, at  
11 this time, our risk assessment reflects our most current  
12 scientific understanding and comprehensive data review of  
13 potential for toxicity to humans.

14 Next slide.

15 --o0o--

16 DPR ASSISTANT DIRECTOR VERDER-CARLOS: So for the  
17 risk calculation summary, we calculated the risks as  
18 margins of exposure. And for chlorpyrifos, we generally  
19 considered target -- a target MOE of at least 100 as  
20 health protective. And then we also calculated from  
21 route-specific points of departure, oral, dermal, and  
22 inhalation, and then we aggregated and combined those  
23 MOEs.

24 --o0o--

25 DPR ASSISTANT DIRECTOR VERDER-CARLOS: So for the

1 conclusions on the draft risk assessment conclusions to  
2 summarize, dietary exposures are exposures from residues  
3 in food and drinking water, and also dermal exposures  
4 resulting from spray drift would not pose a health risk to  
5 humans. That's the MOEs greater than 100 for children and  
6 women of child-bearing age. And then for the -- but the  
7 risk assessment also found that there are several  
8 scenarios of concern --

9 --o0o--

10 DPR ASSISTANT DIRECTOR VERDER-CARLOS: -- which  
11 include hand-to-mouth exposure in children, inhalation  
12 exposure to children and women of child-bearing age, and  
13 various aggregate exposures from combined media, and  
14 lastly, exposures to aerosols in the near air application  
15 sites, which was the driver of the MOEs that were less  
16 than 100, so the inhalation exposure. And that's the  
17 reason why we're taking it to the Panel for your review.

18 --o0o--

19 PANEL MEMBER GLANTZ: Just to continue reacting,  
20 based on not having read it. But you know, the general  
21 process that we followed in here is to worry about  
22 sensitive subgroups. And, you know, from what I  
23 understand from the -- from skimming through it, I mean,  
24 the neurotoxic effects on children and fetuses are really  
25 important.

1           And so why are you defining the risk -- you know,  
2 the acceptable levels when you're excluding probably the  
3 most important subgroups, based -- again just based on  
4 reading the newspaper and skimming through some of what  
5 you sent already?

6           So that's -- I mean, that's another thing I think  
7 you're going to need to make a really strong case for,  
8 because if I was coming at this -- and again, I have not  
9 had the benefit of studying the document, but I would be  
10 saying that, you know, those are the sensitive subgroups  
11 that you should be using to base the risk assessment on,  
12 you know, and making sure that the exposure -- acceptable  
13 exposure levels are set, so that you have at least 100  
14 marginal exposure for these particular people. I mean, am  
15 I missing something?

16           DPR ASSISTANT DIRECTOR VERDER-CARLOS: Well,  
17 so --

18           PANEL MEMBER GLANTZ: Based on being totally  
19 uninformed.

20           (Laughter.)

21           DPR ASSISTANT DIRECTOR VERDER-CARLOS: You always  
22 have a caveat to say that.

23           PANEL MEMBER GLANTZ: Yeah. Well, I don't want  
24 to make it sound like -- but, I mean, again, you've -- and  
25 I appreciate that you're coming in here to help us get

1 into this, because it is going to be complicated. But  
2 these are just sort of obvious questions that come up.  
3 And I'd rather put them -- you know, pose them now and  
4 give you guys a chance to think about it, and maybe come  
5 up, you know, either with a clear justification, if it's  
6 not already in the document, or come back and say we're  
7 going to change the document. And that would save one  
8 iteration through this whole process.

9 So you don't have to answer it right now --

10 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Okay.

11 PANEL MEMBER GLANTZ: -- if you don't want to.

12 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Okay.

13 Well --

14 PANEL MEMBER GLANTZ: But if you want to, that  
15 would be fine too.

16 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Yeah.

17 PANEL MEMBER GLANTZ: But I'm just -- you know,  
18 you -- I'm trying to help move things forward more  
19 quickly.

20 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Right. So  
21 the risk assessment addresses actually children 1 to 2  
22 years old, because they're the most vulnerable based on  
23 the risk assessment and also women of child-bearing age.  
24 So the neurodevelopmental effects -- well, I'm going to  
25 get to it on the next slide, is the -- is that the --

1                   --o0o--

2                   DPR ASSISTANT DIRECTOR VERDER-CARLOS: We  
3 addressed it with an uncertainty factor. And actually, we  
4 would really like the Panel to address that based on the  
5 charge questions that we're going to ask you. So there  
6 are things that we are really asking for you to address.

7                   So the charge questions -- probably the document  
8 that you have is not the same order that we have it here,  
9 but we wanted to categorize it, so that it's more readable  
10 for the presentation.

11                  So we would really like your comments on the  
12 choice of acetylcholinesterase inhibition as a toxic  
13 endpoint, and to consider the extensiveness of the  
14 chlorpyrifos database, as well as the approach,  
15 feasibility, and available database for selecting an  
16 alternate endpoint. So we would really like your comment  
17 on that.

18                  And then also for the choice of uncertainty  
19 factors that we use, because we did use the  
20 physiologically based pharmacokinetic/pharmacodynamic  
21 modeling. But there is also rodent data that is available  
22 to us. The PBPK used the human data, and then -- but  
23 there's also rodent data that we received a comment on for  
24 us to be using. So we would like your comment on that.  
25 And then we also did a 10x intraspecies uncertainty factor



1 and then 10x for the neurodevelopmental effects.

2           And then in connection with the  
3 neurodevelopmental effects, we would like your comment on  
4 how we could use the human epi data to qualitatively or  
5 quantitatively inform the dose response relationship,  
6 because that's been -- you know, we -- we have reviewed a  
7 lot of the literature that has epi data on the effects of  
8 neurodevelopmental effects for chlorpyrifos.

9                           --o0o--

10           DPR ASSISTANT DIRECTOR VERDER-CARLOS: And then  
11 the rest of the charge questions. We would also like your  
12 comment on using the 21-day steady state point of  
13 departure values to evaluate the risk associated with  
14 dermal, inhalation, and non-dietary oral exposure from  
15 spray drift. Normally, for acute exposures, it's just,  
16 you though, one and a half hour or one hour exposure.

17           But for our risk assessment, we would really like  
18 to know if a 21-day is appropriate, because if it -- we  
19 use just a one-to-one, one and a half hour exposure, it  
20 might underestimate the risks to individuals residing in  
21 areas of high chlorpyrifos use already.

22           So because acute points of departure do not, by  
23 themselves, account for the elevated level of  
24 cholinesterase inhibition already present in such  
25 populations. So that's where we start off.

1           And then for the -- our choice also of using the  
2 agricultural dispersion model to estimate air  
3 concentrations for fixed-wing aerial applications as a  
4 surrogate for air concentrations for ground boom and  
5 airblast. Since there are no data to address ground boom  
6 and airblast applications, and we know that that's an  
7 exposure scenario that we would really like to address, we  
8 used the fixed wing aerial application as a surrogate for  
9 those two exposure scenarios. So we would like your  
10 comment on that to see if that's appropriate or not.

11           And then lastly, we would also like your comment  
12 on the choice of adjusting air concentrations for  
13 inhalable fractions, how will those adjustments be made.  
14 Because when a spray drift cloud is comprised of aerosol  
15 droplets of varying sizes, and we're not sure if -- so  
16 what -- in our risk assessment, we did not quantify those  
17 inhalable fractions and those that are not. So we would  
18 really like your comment on how should we address that or  
19 not. But in this assessment, we did not adjust for  
20 inhalable fractions.

21                           --o0o--

22           DPR ASSISTANT DIRECTOR VERDER-CARLOS: And then  
23 for concluding remarks. The risk assessment evaluated  
24 aggregate exposure through inhalation exposures because  
25 that's the criteria for a TAC. And like we said, that is

1 really the one that drove the risk assessment is the  
2 inhalation risk. And we will make an in-depth  
3 presentation on the risk assessment on January 23rd.  
4 There's already a date for that.

5           And we can provide original studies to the Panel,  
6 if you need that, but we do need affirmation of status to  
7 be able to give you those documents, because they're  
8 proprietary. But at the same time, we would also like to  
9 offer that if you would like published literature studies,  
10 if you would like us to send them to you, let us know, we  
11 can send you a link of all the published literature that  
12 we have reviewed, and we can send those to you, so you  
13 don't have to print them out and -- or search for them.  
14 So if you would like to do that, we can do that for you.

15           DPR SPECIAL ADVISOR SEGAWA: So I have a standard  
16 form here that describes the handling requirements for  
17 confidential data, if you choose to request that. And so  
18 I need you to read this, and sign it, and return it back  
19 to us either today or you can email it back to us at a  
20 later date.

21           CHAIRPERSON KLEINMAN: Stan.

22           PANEL MEMBER GLANTZ: So I just had a couple of  
23 other questions. So I -- there were a bunch of public  
24 comments that were submitted, a couple of which seemed to  
25 come in pretty late. And I just wanted to make sure that

1 the -- that you guys -- that your responses to those  
2 comments, do we have those for all of the comments that  
3 were submitted?

4 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Yes.

5 PANEL MEMBER GLANTZ: Okay.

6 DPR ASSISTANT DIRECTOR VERDER-CARLOS: So there  
7 were also comments that were submitted to this August risk  
8 assessment that were addressed during the December draft  
9 of 2015. So we also sent you our responses to those  
10 comments. So all of the comments that we got, including  
11 the December 2015, which was also Dow -- some of Dow's  
12 comments. So we also sent you a lot of -- all those  
13 comments.

14 PANEL MEMBER GLANTZ: Yeah. Okay. But  
15 they're -- in particular, there was this one that came in  
16 from a bunch of -- I mean, Mike mentioned a bunch of  
17 public health groups or something. But just again looking  
18 at the very beginning of it, it sounded like that came in  
19 very late in the process. Are you responses to -- do you  
20 know the ones I'm talking about? They had a green  
21 letterhead. That -- we just got this a couple days ago,  
22 and I didn't bring them with me.

23 Do you know the -- it was -- it was the one with  
24 the like 89 organizations signed it or something. Has DPR  
25 responded? Because I got the impression that came in

1 very, very late. And I mean, is there a response to that?  
2 Do you know what I'm talking about.

3 PANEL LIAISON BEHRMANN: Yes, Dr. Glantz. Those  
4 were primarily comments that were submitted by those  
5 organizations back during the -- during the -- back during  
6 the public comment period on the DPR document, and also on  
7 the U.S. EPA document. Those groups wanted to convey to  
8 this Panel the fact that they had participated earlier in  
9 the process. And they also commented that they planned --  
10 once they see this new DPR document, that they will also  
11 be commenting on this new revised document.

12 PANEL MEMBER GLANTZ: Okay. Well, so, but DPR  
13 doesn't have -- so what's DPR's response? Is there DPR's  
14 ease response to the earl -- see, that's the thing I got  
15 confused about. It's like that's what I thought they  
16 said, but like -- so has DPR responded to those earlier  
17 comments, and is that available to us or --

18 PANEL LIAISON BEHRMANN: That's in the package  
19 that you just received on Monday.

20 PANEL MEMBER GLANTZ: Okay. So DRP's responses  
21 to that stuff, including the issues they raised with the  
22 EPA report, because that's going to be material in this --

23 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Yes.

24 PANEL MEMBER GLANTZ: So we have DPR's responses  
25 to that?

1 PANEL LIAISON BEHRMANN: Yes.

2 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Yes.

3 PANEL MEMBER GLANTZ: Okay. And then -- and then  
4 I would just, if -- if these other groups or anybody else  
5 is thinking of responding to the December 2017 document,  
6 again, I would really urge them to not wait until two days  
7 before the meeting to submit the comments. I think they  
8 should be submitted -- I mean, we can't control that, but  
9 my advice to the public would be they need to get them in  
10 quickly, so that DPR has a chance to respond to them.

11 Because I have to say, and I've said this many times, that  
12 when I review these documents, I always read the executive  
13 summary and then the public comments and the response to  
14 comments to sort of try to see what the big issues are.  
15 And then so I can judge how well they're being addressed.

16 So I think it is really important that that stuff  
17 be to us with not only the comments, but the response --  
18 although, you know, if these groups only deliver it two  
19 days before the meeting, it's not fair to DPR to expect  
20 them to have responded.

21 So everybody needs to do this with enough time  
22 that we can get the comments and the responses are enough  
23 before the next meeting to have time to read them, and  
24 carefully consider them.

25 So that's a message both to the public and to the

1 DPR. And if -- and as I said, if the public comes in at  
2 the last -- you know two days before the meeting, you  
3 know, then they can't complain that DPR didn't have time  
4 to respond. But I think, at the same time, I don't want  
5 to get DPR's responses two days before the meeting either.  
6 So everyone will have a lot of time over the holidays.

7 (Laughter.)

8 PANEL MEMBER ANASTASIO: Can I ask a question  
9 about the data that you're asking us if we'd like to have.  
10 How many data are we talking about, and what is the data?

11 DPR SPECIAL ADVISOR SEGAWA: The original studies  
12 on which the risk assessment is based. There is -- of  
13 course, we relied on open literature, but much of it  
14 relied on studies submitted by the registrants.

15 PANEL MEMBER ANASTASIO: Well, how many studies  
16 are there, roughly?

17 DPR SPECIAL ADVISOR SEGAWA: Two or three  
18 hundred.

19 PANEL MEMBER ANASTASIO: Two or three hundred.

20 DPR SPECIAL ADVISOR SEGAWA: There were --

21 PANEL MEMBER GLANTZ: There were 5,000 what?

22 DPR ASSISTANT DIRECTOR VERDER-CARLOS: They  
23 narrowed it down from that.

24 DPR SPECIAL ADVISOR SEGAWA: They looked at  
25 approximately 5,000 studies and included two to three

1 hundred in the risk assessment.

2 PANEL MEMBER ANASTASIO: So we would be getting  
3 copies of two to three hundred?

4 DPR SPECIAL ADVISOR SEGAWA: Correct.

5 PANEL MEMBER GLANTZ: So that's two or three  
6 hundred --

7 DPR SPECIAL ADVISOR SEGAWA: If you want it.

8 PANEL MEMBER GLANTZ: So that's two or three  
9 hundred proprietary studies submitted by industry, is that  
10 what you're talking about?

11 DPR SPECIAL ADVISOR SEGAWA: It's a mixture.

12 DPR ASSISTANT DIRECTOR VERDER-CARLOS: It's the  
13 volume.

14 PANEL MEMBER GLANTZ: Well, you should cover and  
15 talk into the microphone for the reporter.

16 DR. DuTEAUX: Dr. Shelley DuTeaux. I'm the  
17 Branch Chief for Human Health Assessment with DPR.

18 The volume of data -- unlike maybe some other  
19 chemicals that this Panel has looked at, the volume of  
20 data for chlorpyrifos is enormous. This chemical has been  
21 studied since about 1971. And not only has the  
22 registrant, Dow Elanco now Dow AgroSciences, done  
23 extensive laboratory animal tests, there's open literature  
24 on all the subjects that we've covered in the risk  
25 assessment document, including exposure assessment,



1 bystander -- bystander exposure, indoor dust exposure,  
2 epidemiology, in vivo, in vitro, and in silico methods.  
3 So it's a voluminous data set.

4           When we do a comprehensive risk assessment, we do  
5 a systematic literature search, and then we narrow down  
6 the articles that actually end up being informative for  
7 the risk assessment. And we include those in our  
8 references. And you'll see an extensive list of  
9 references at the end of the risk assessment, as well as  
10 additional references that are on a memo, which is  
11 attachment number 2, which goes into much more detail  
12 about the exposure assessment, which I think Dr. Anastasio  
13 will be very interested in.

14           The volumes we're talking about are done under  
15 contract labs, like Jackson and others. These volumes are  
16 literally -- we call them volumes, and we're not joking,  
17 bigger than Shogun. And we have, oh my gosh, Ann, do you  
18 have an idea of how many we have?

19           God, it has to be more than 20.

20           So you're talking pounds of paper.

21           PANEL MEMBER BLANC: Yeah, but we could tell you  
22 which study we wanted to look at.

23           DR. DuTEAUX: Exactly. You can tell us what  
24 studies.

25           PANEL MEMBER BLANC: Yeah, so --

1 DR. DuTEAUX: And they're all listed in the  
2 references. And then what we were --

3 PANEL MEMBER BLANC: So I would suggest that  
4 anybody who thinks it's a possibility that they might want  
5 some -- to see a particular study, just turn in the signed  
6 form today, and they'll have it. And then --

7 DR. DuTEAUX: And then the specific one.

8 PANEL MEMBER BLANC: -- if you decide that you  
9 want to see a particularly pivotal, or troubling, or  
10 intriguing study, you can ask -- we could ask for it.

11 DR. DuTEAUX: Exactly. Yes.

12 PANEL MEMBER BLANC: And it would also  
13 probably -- I would probably encourage panel members to  
14 ask for at least one, so that you could get a sense of  
15 what an industry proprietary study looks like, so that you  
16 can have empathy for the staff --

17 DR. DuTEAUX: Right, I know.

18 (Laughter.)

19 PANEL MEMBER BLANC: -- if nothing else.

20 DR. DuTEAUX: I agree, Dr. Blanc, is alluding to  
21 the fact that the summary of these contract lab studies is  
22 usually about 100 pages long. Then they include  
23 individual animal data points for every single  
24 measurement, in every dose group, every gender, and every  
25 generation.

1           So that's why these studies are so enormous to  
2 look at. And the other thing that Dr. Verder-Carlos was  
3 alluding to was a list of the open literature manuscripts.  
4 What we'd like to do is be able to provide you a PubMed  
5 public link, so you can see all of those in their abstract  
6 form. And then click, as you are, because each of your  
7 own organizations, be it UCLA or USC, has an ability to  
8 get those full text manuscripts per your university's  
9 designated status with your literature search, and your  
10 libraries.

11           So but we'd be able to provide that  
12 electronically, so you can at least have access as quickly  
13 as possible.

14           Any other questions on the literature?

15           CHAIRPERSON KLEINMAN: Okay. I'd like to amplify  
16 Dr. Blanc's suggestion. It will be a good idea to at  
17 least get a look at one of these documents. But I think  
18 as we read the article, we can identify specific things  
19 that we want to question, and come back and just request  
20 those specific items.

21           I've got -- you know, one of the charge questions  
22 was referring to the uncertainty factors used. And could  
23 you tell us a little bit more about -- not having, you  
24 know, really read into the report yet, but are you -- for  
25 example, 10x for the intraspecies. Now that's -- in

1 occupation hygiene, that's sort of standard going from an  
2 average to a more sensitive worker.

3 Do you think that's reasonable to go from a  
4 healthy adult to a one-year old kid?

5 ASSISTANT DIRECTOR VERDER-CARLOS: Dr. DuTeaxu.

6 DR. DuTEAUX: Get into detail in January, if  
7 that's --

8 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Yeah. SO  
9 we were hoping to get into that in January. But Dr.  
10 Koshlukova, would you like to address that.

11 DR. KOSHLUKOVA: Svetlana Koshlukova. I'm the  
12 senior toxicologist of the risk assessment section.

13 So for chlorpyrifos, we used an intraspecies  
14 uncertainty factor of 10. This is a default, and we have  
15 extensive discussion of whether this is sufficient or not  
16 to cover from the median to the most sensitive individual.  
17 But at this point, we do not feel we have enough data to  
18 quantify what the difference might be, and as such chose  
19 to use the default.

20 In terms of the -- intra -- interspecies, animals  
21 to humans, we felt that the model that we used to  
22 establish the point of departures -- points of departure,  
23 sufficiently -- it's for human, therefore we can reduce it  
24 to one.

25 CHAIRPERSON KLEINMAN: Okay. Thank you.

1           PANEL MEMBER BLANC: I think that's where likely  
2 there could be the most questions. I think you'll really  
3 have to work diligently to convince us that both parts of  
4 that equation are sufficiently viewed with confidence to  
5 allow that presumption. And that may be where the comment  
6 in terms of the metabolism in children, for example, may  
7 come into play, even though you state you have an added  
8 10-fold uncertainty factor for neurodevelopmental -- lack  
9 of newer developmental data.

10           So I bring that up, because often we've found  
11 that we can, by a factor of three rather than 10, because  
12 half of the equation seems to be satisfying enough. And I  
13 think this will be an area in which we'll be looking to  
14 comment from OEHHA in particular as well, since they deal  
15 with this much more frequently than the Pesticide Branch.  
16 I don't -- could I hear from OEHHA, if they're prepared --  
17 or preparing themselves to address that for us in January?

18           PANEL MEMBER GLANTZ: Well, isn't this going to  
19 be fun?

20           (Laughter.)

21           DR. SIEGEL: Hello. I'm Dr. David Siegel with  
22 OEHHA. And we did submit our findings on the document.  
23 And you have received those as of Monday. So we have  
24 indicated our thoughts on that.

25           PANEL MEMBER GLANTZ: That's another thing I

1 looked at. I used the "look rather than "read", but I  
2 looked at about half of it. And it's clear that there is  
3 some differences of opinion between OEHHA and DPR. And it  
4 would be useful if DPR had anything to say about what  
5 OEHHA said to know what this is before -- well, before the  
6 meeting. And even -- I don't want to start like a --  
7 whatever an infinite process, and, you know -- because I  
8 think those are going to be big issues in the discussion.  
9 And, you know, DPR gave us their report. OEHHA gave us  
10 their reactions. It's not unusual for OEHHA to have a  
11 slightly different view of these things than DPR does.  
12 But it would be useful to get in advance DPR's comeback.

13           And even if OEHHA wanted to -- had any reactions  
14 to what DPR said, you don't have to go through it at  
15 really, you know, every little thing. But I think that  
16 is -- you know, again, from what little I know, that's  
17 going to capture a lot of the big issues in dealing with  
18 this report.

19           And so I think the more of that that we can get  
20 in time to read and think about before the meeting, rather  
21 than having to like make stuff up on the fly or raise  
22 issues that then come back three months later, that would  
23 be really, really helpful.

24           So I'm not trying to make huge amounts of  
25 additional work for everybody, but I -- I think those -- I

1 just can't stress the value that I see in the public  
2 comments and the response to comments, and sharpening, you  
3 know -- thinking about these documents.

4           You know, and I mean one of the other things I  
5 got a sense of. And I only got about halfway through a  
6 quick read of the OEHHA document. But there are questions  
7 about this issue of the appropriate endpoint that should  
8 be used. And I think that's going to be -- and then also  
9 the question of animal versus human data, because in these  
10 things nothing is ever perfect.

11           So I think the more those issues can be, you  
12 know, laid out for us. And, you know, the specific  
13 questions, and pros and cons of these different  
14 approaches, you know, the more we can help get that  
15 focused and see what the controversies are, that is going  
16 to really help move this process forward.

17           DPR ASSISTANT DIRECTOR VERDER-CARLOS: Yes, we --  
18 so what you're saying is we should be able to send our  
19 responses to OEHHA's findings before the January 23rd  
20 meeting. Okay.

21           PANEL MEMBER GLANTZ: Yeah, that would be very  
22 helpful. And then if OEHHA -- I don't think we want to  
23 set up an infinite loop here, but -- because I think the  
24 issues will be pretty clear. But if DPR says something  
25 that makes OEHHA's, you know, teeth hurt, you know, I'd be

1 interested in what OEHHA -- I mean, part of it -- I mean,  
2 I've been in things it's like didn't you read what we said  
3 before? We don't need to repeat ourselves.

4 But if there are things that, you know, in light  
5 of DPR's response that OEHHA thought were clear or, you  
6 know, that that brings up some other issue, I would really  
7 like to see that, you know, as soon as we could get it  
8 just because that's -- I think that -- I think a lot of  
9 the issues that are probably going to come up are going to  
10 get highlighted there. And I think that will assist at  
11 least me in trying to wade through all this stuff.

12 Is that okay? I mean, I think we're going to end  
13 up doing that anyway, and it would better to get it done  
14 now, than have the meeting in January, and then -- and  
15 have you go -- I mean, is that okay?

16 This is what happens when I get to look at  
17 something a little bit.

18 (Laughter.)

19 PANEL MEMBER GLANTZ: Blanc was much smarter, he  
20 didn't even look at it.

21 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Yes, we  
22 will. So we will work -- we will work on that, and then  
23 work with OEHHA and see if we can --

24 PANEL MEMBER BLANC: But I think I -- since  
25 this -- the purpose of this meeting, is I understand it,



1 is to sort of lay groundwork. So rather than simply say,  
2 well, we wrote our comments, which you didn't have time to  
3 look at, could you perhaps summarize for us on this one  
4 particular point of the correction factor inter --  
5 intraspecies, with humans, of only 1, whether OEHHA had an  
6 opinion on that. Well, it's within humans, right?

7 DPR ASSISTANT DIRECTOR VERDER-CARLOS: The inter.  
8 You're saying interspecies. Within humans is the intra,  
9 so this is a 10 is what -- or the 1, are you asking for  
10 the PBPK model?

11 PANEL MEMBER BLANC: Yeah, I'm sorry That one,  
12 yeah. Yeah, intraspecies model of 1, going from rats to  
13 humans, sorry, right, essentially?

14 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Right.

15 DR. LIM: This is Lori Lim. I'm the senior  
16 toxicologist with OEHHA.

17 In the DPR's document, a -- the PBPK model was  
18 considered to be close to a human model. So because of  
19 that, the interspecies, which is extrapolated using data  
20 from animal to humans was considered not needed. While we  
21 looked at the data, we -- we agree at the human data in  
22 the model, but we did not believe that that model  
23 represented true human. So we want to back off from it,  
24 and say, well, you should at least apply a three-fold  
25 factor, because standard default for animal to human

1 extrapolation is a 10-fold.

2           PANEL MEMBER BLANC: So in other words, what I --  
3 what I had anticipated, which is you accept half the  
4 equation, but not the PK --

5           DR. LIM: Well, the model is a PK and PB model,  
6 so it's hard to split it.

7           PANEL MEMBER BLANC: Right, and you -- and so  
8 the -- right. But so you accept some of it, but not all  
9 of it.

10           DR. LIM: We're saying that this model is half  
11 human, if you want to look at it that way.

12           (Laughter.)

13           DR. LIM: Not true human. And we went -- in our  
14 findings, we went into details about why it didn't --  
15 it -- there's not enough human data, especially for the  
16 inhalation component of the model that they weren't any  
17 human inhalation toxicity study to support that component.

18           PANEL MEMBER GLANTZ: Was Kathy Hammond saying  
19 something?

20           PANEL MEMBER BLANC: Inadvertently.

21           PANEL MEMBER HAMMOND: Oh, I'm sorry.

22           DR. LIM: So anyway, there's that problem with  
23 lack of human inhalation toxicity study. And then the use  
24 of the in vitro human plasma in liver samples that, you  
25 know, we have some questions about that too. So we just

1 didn't think that was -- it's the same as though a human  
2 study was conducted, a well-conducted human study. Let's  
3 put it that way.

4 PANEL MEMBER BLANC: Well, that's very helpful to  
5 hear that stated, so that it can give us a framework from  
6 which to look closely at that part of the document.

7 And so I would just prepare the Pesticide Branch  
8 for --

9 DPR ASSISTANT DIRECTOR VERDER-CARLOS: To respond  
10 to that?

11 PANEL MEMBER BLANC: Yes, and for the likely  
12 eventuality that you will err on the conservative side --  
13 the health protective side of allowing a 3-fold but not a  
14 1-fold interspecies factor. So you should be prepared for  
15 that, but give it your best shot.

16 CHAIRPERSON KLEINMAN: There -- yeah, I'm also  
17 curious, because there are a lot of organophosphate  
18 pesticides out there. And a lot of human exposures to  
19 thing likes malathion, parathion, and all of that. And  
20 none of that data gave you enough information on  
21 acetylcholinesterase inhibition in terms of exposures that  
22 you could draw analogies from real-world data and check  
23 your model?

24 DPR ASSISTANT DIRECTOR VERDER-CARLOS:

25 Chlorpyrifos is the only organophosphate that has

1 a very robust PBPK/PD model. That's the only one that  
2 they -- that the scientists did a very robust PBPK model.  
3 And that's what we had relied on for that interspecies of  
4 one lx. And to the point of the human data, they did  
5 use -- what's this called -- fused -- infused human  
6 tissues. And that's what they used -- the protocol is the  
7 same as they used for organ transplant. And that's one of  
8 the -- that was fully explained in the risk assessment and  
9 that's why we used an uncertainty factor of 1 instead of 3  
10 or a 10.

11 DR. LIM: I think you're referring to a whole  
12 human study. And there are two studies the Nolan study  
13 and the Kisicki study that are used to -- in the model.  
14 These are intentional dosing of the human studies. And  
15 yeah, they were specific on chlorpyrifos.

16 CHAIRPERSON KLEINMAN: Kathy or Beate, do either  
17 of you have a comment?

18 PANEL MEMBER RITZ: Yeah. This is Beate. I  
19 actually do. I'm very surprised that there's absolutely  
20 nothing on the effect in elderly. Since I'm the author of  
21 one of the papers that shows that it -- that chlorpyrifos  
22 is actually associated with Parkinson's disease. So  
23 neurodegeneration I think really needs to be looked at,  
24 but I find nothing in here. Is that because these papers  
25 are too new or is there another reason?

1 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Dr. Ritz,  
2 so that was an epi study, correct --

3 PANEL MEMBER RITZ: Oh, yes.

4 DPR ASSISTANT DIRECTOR VERDER-CARLOS: -- that  
5 was reviewed. So we -- based on the risk assessment that  
6 we did, the most susceptible population was the 1 to 2  
7 year olds and women of child-bearing age, but we will  
8 be -- we will take a look. We did not -- I don't think  
9 that we looked at that specifically as an epi study, but I  
10 will check with -- no, we did not.

11 DPR ASSISTANT DIRECTOR VERDER-CARLOS: So the Epi  
12 data that we looked at was on neurodevelopment.

13 PANEL MEMBER RITZ: Right. So I would encourage  
14 you to at least look for neurodegeneration, and for both  
15 Parkinson's and Alzheimer's.

16 PANEL MEMBER GLANTZ: Do the DPR people know the  
17 studies -- the specific study she's talking about or --  
18 no. So they shook their head no. So I think it would be  
19 helpful for Beate for you to send the references to DPR,  
20 and -- just so they don't have to go get -- looking for  
21 them.

22 PANEL MEMBER RITZ: Yes, that's easy.

23 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Thank you.

24 PANEL MEMBER RITZ: Can I send them to Jim and he  
25 forwards them?

1 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Yes. Jim  
2 said yes.

3 PANEL MEMBER RITZ: Okay. Good.

4 PANEL MEMBER HAMMOND: Beate, are you done?

5 CHAIRPERSON KLEINMAN: Okay. I'd like to give  
6 other Panel members an opportunity if they have questions  
7 that would like to raise?

8 Cort.

9 PANEL MEMBER HAMMOND: This is Kathy.

10 CHAIRPERSON KLEINMAN: Oh, yes, Kathy, go ahead.

11 PANEL MEMBER HAMMOND: Sure. Sure. So this  
12 is -- I noticed that you talked about the inhalation  
13 fraction, and whether or not that was included. And I  
14 just would like, because there's various definitions for  
15 that, for you to remind me of what your definition of  
16 inhalable fractions are?

17 CHAIRPERSON KLEINMAN: Kathy, you're fading out.

18 PANEL MEMBER HAMMOND: Can you hear me now?

19 CHAIRPERSON KLEINMAN: It's better.

20 PANEL MEMBER HAMMOND: Let me try something.

21 Okay. Let me try this.

22 PANEL MEMBER HAMMOND: The -- my question was  
23 about the inhalable fraction. And I wanted to know a  
24 little more, since you had kind of highlighted that point,  
25 about what your definition there was.

1 DR. DuTEAUX: Dr. Hammond, this is Shelley  
2 DuTeaux again. In our risk assessment you'll find that we  
3 assumed that all chlorpyrifos -- airborne chlorpyrifos was  
4 available. So we assumed it was all inhaled, which is  
5 unlike an FDA development drug where you look at a percent  
6 of inhalable fraction, or as you know, criteria  
7 pollutants, where there's a certain size fraction.

8 So our question to you in the charge questions is  
9 if we change our approach of considering all airborne  
10 chlorpyrifos as being inhalable to a fraction that would  
11 be inhalable, then what would your suggested approach as  
12 the Panel be for us to do? So, essentially, I think we're  
13 ask --

14 PANEL MEMBER HAMMOND: I can give you -- Okay.  
15 So I can give you an upfront kind of thing. But I wasn't  
16 sure about your definition of inhalable. If you use the  
17 occupational definition of inhalable, you know, that is  
18 actually 50 percent of 100 micron particles are taken into  
19 the respiratory tract. So I don't know if that's the  
20 definition you're using, as opposed to some people in the  
21 environmental world loosely use, what we call, respirable  
22 and inhalable, which are much smaller particles. So when  
23 you say inhalable, which definition are you using?

24 PANEL MEMBER GLANTZ: So the questions she was  
25 asking I think -- you're kind of fading in and out, Kathy.

1 I think she's said there were two different  
2 definitions of inhalable, and which one are you using,  
3 right, Kathy, is that the question?

4 PANEL MEMBER HAMMOND: Correct. Correct.

5 DR. DuTEAUX: Again, we haven't used one yet.  
6 But if we do, then what is appropriate. And I think  
7 Professor Hammond said that in the occupational health  
8 world they use 50 percent of 100 micron particles taken  
9 in. But then in environmental health worlds it's  
10 respirable fraction, which would be approximately, I  
11 guess, less than 10 microns.

12 CHAIRPERSON KLEINMAN: Actually, I think what  
13 Kathy --

14 DR. DuTEAUX: Isn't that correct?

15 CHAIRPERSON KLEINMAN: Go ahead, Kathy.

16 PANEL MEMBER HAMMOND: Just to say upfront, you  
17 definitely should be using out of, you know, kind of  
18 minimum is the wrong term. But the point would be that  
19 you should -- I think that you've probably done correctly  
20 as is what you've done. But if you were to use any size  
21 selection, it should be the occupational definition, not  
22 the environmental definition of inhalable, because it's  
23 just -- the concept of -- is the critical concept there.

24 And the point is that a lot of material gets into  
25 the body. It doesn't make it to the alveoli, but it still



1 makes it into the body and is available to cause the kind  
2 of effects endpoints that you're looking at, even if it's  
3 larger than the size particles that can make it to the  
4 alveoli. But the alveoli are not the target organ in this  
5 case. So it's really important not to go that direction.

6 And I understand you haven't done that yet  
7 either. But if, for any reason, you were going to do  
8 that, I would say make sure that you don't go that way.

9 And then the other question I had was do you have  
10 any data on the size distribution of the particles.

11 DR. DuTEAUX: The size distribution. So the  
12 modeling -- the monitoring data was captured for a  
13 particular size or type of particle in the cloud. And  
14 then the modeling actually also has a size distribution.  
15 So when we look at the airborne concentration, the spray  
16 drift modeling that we used, you'll be able to see that  
17 and ask additional details about that as well.

18 PANEL MEMBER HAMMOND: It's really important to  
19 know the size distribution for any of this conversation.  
20 But as you may well know, and again I lean to favor in  
21 what you've already done anyway. But if one was going to  
22 go down that path to be aware of it, for instance the size  
23 distribution changes, and as you get further away from the  
24 source, in this case, the particles may well get smaller  
25 with evaporation of the vehicle.

1           You know, so it's not like what's right near the  
2 source, if you're talking about community exposures, but  
3 certainly is going to have an effect. So I think your  
4 choice was a good one, but I haven't read the document in  
5 detail. But just on the surface of what you've said so  
6 far, I support what you've said. But if you're under a  
7 lot of pressure, just make sure you do not go the  
8 direction of thinking of the alveoli as the target vehicle  
9 -- target organ.

10           DR. DuTEAUX: Right. And thank you for that.  
11 Again the -- we have two different models that play here.  
12 We have an airborne distribution model, and then we also  
13 have a PBPK/PD model. And in the PBPK/PD model, the way  
14 that the modeler developments wrote the code was that  
15 there's a certain amount that, you know, of the size  
16 fraction that might get into the deep lung. But, of  
17 course, acetylcholinesterase effects are not in the  
18 alveoli.

19           But you can see very clearly in our description  
20 how much was assumed by the model code actually then went  
21 up through the mucociliary pathway, was then ingested, and  
22 was considered a whole-body dose, or site-specific dose  
23 through that route. So the model is very specific when it  
24 comes to that.

25           And then just a note on the atmospheric modeling,

1 the -- we made some very conservative assumptions in that  
2 modeling. And one of which is that we treated the  
3 droplets like their water, i.e. there is no vaporization  
4 of the size. And so the size kind -- maintains its size  
5 in diameter far afield, and doesn't evaporate. And so  
6 that's one thing that's also another conservative  
7 assumption that we put in.

8 PANEL MEMBER HAMMOND: So I actually disagree  
9 that that's a conservative assumption. I think that  
10 that's an assumption that would lead to -- if you  
11 did -- if you did do it, if you were to actually --

12 PANEL MEMBER BLANC: Kathy. Kathy. Kathy, Paul  
13 Blanc here. Are you using a speaker phone?

14 PANEL MEMBER HAMMOND: No, I'm using a -- I've  
15 got a plugged in headset, but I guess it's not working  
16 well.

17 PANEL MEMBER BLANC: It's not working. I wonder  
18 if you could unplug that and just use --

19 PANEL MEMBER HAMMOND: Oh, I'll try it. Sure.

20 Is this better?

21 CHAIRPERSON KLEINMAN: A lot.

22 PANEL MEMBER HAMMOND: Okay. My apologies.

23 PANEL MEMBER BLANC: Yes, yes.

24 PANEL MEMBER HAMMOND: Okay. Sorry.

25 Yeah, I've just got it to my ear now.

1           Okay. What I'm saying is that rather than  
2 calling that a conservative assumption, I think it's the  
3 exact opposite of that. That it actually leads to an  
4 underestimate, if you assume the particle size does not  
5 change. Because again, if you take everything that comes  
6 in, which is what you did, then it makes no difference, of  
7 course, and I get that, and I support that.

8           But you are getting any pushback or you're trying  
9 to look at what it would be if you took it -- made another  
10 set of assumptions, then I would say that the -- what  
11 you -- you do have to take into account the fact that the  
12 particle size will change, I mean, is a pretty critical  
13 piece of that.

14           And then that would make more things,  
15 biomonitoring, available. Does that make sense? Or we  
16 talk about that, if you want, like you know, later, off --  
17 after this meeting or something.

18           DPR ASSISTANT DIRECTOR VERDER-CARLOS: In  
19 January?

20           DR. DuTEAUX: In January?

21           PANEL MEMBER HAMMOND: I January, sure. But I  
22 just want to -- I mean, I guess what we're trying -- I  
23 really appreciate getting this heads up on what you all  
24 are doing, because it helps to focus as we reviewed the  
25 document. But I also want to give you a heads up on how

1 I'll be looking at it.

2 DR. DuTEAUX: Sure. And that's why we posed it  
3 as one of the charge questions, because we think it's very  
4 important as well.

5 PANEL MEMBER HAMMOND: Sure, uh-huh.

6 That's why I'm taking it seriously, right, right.  
7 Yeah.

8 PANEL MEMBER ANASTASIO: This is Cort Anastasio.  
9 I want to follow up on some of Kathy's points. Shelley,  
10 are you saying not allowing the drops to evaporate is  
11 conservative, because you're not allowing the chlorpyrifos  
12 to evaporate from the drops?

13 DR. DuTEAUX: Yes. I mean, we're treating it  
14 like it has a vapor pressure of water --

15 PANEL MEMBER ANASTASIO: Right.

16 DR. DuTEAUX: -- instead of it's true vapor  
17 pressure.

18 PANEL MEMBER ANASTASIO: And so part of that is  
19 you're not considering any risk from gas phase  
20 chlorpyrifos?

21 DR. DuTEAUX: It's vapor phase.

22 DR. DuTEAUX: Our modeler.

23 DR. BARRY: I'm Terry Barry. I'm the modeler for  
24 the environmental concentrations. So let's back up a  
25 little bit. So the AGDISP model is a first principles

1 physics-based --

2 PANEL MEMBER GLANTZ: Can you pull it closer.

3 DR. BARRY: I could hear myself, so I was  
4 thinking you could hear me.

5 Okay. The AGDISP model is a first principles  
6 physics-based model, and it models droplets as an ensemble  
7 cloud. What we assume the chlorpyrifos is it's not  
8 volatile. So the AI itself does not disappear and go into  
9 the vapor phase, but the droplets do get smaller. As you  
10 go down wind, they evaporate. There's gravitational  
11 settling. There's deposition. In fact, you can lose  
12 material, you know, off the model domain too, if you go  
13 far enough, because it's 2,608 feet is the reasonable  
14 border, you know, for the model. And then you'd have to  
15 hand it off to a gassy blue model, and then you're talking  
16 about actual vapor size. You know, vapor -- no droplets  
17 anymore basically, just vapor.

18 So the conservative part that Shelley was  
19 pointing to is that we assume that the AI is not volatile,  
20 so it doesn't disappear. It doesn't get smaller. It  
21 doesn't, you know, mix vertically significantly.

22 PANEL MEMBER ANASTASIO: Right, but that's only  
23 conservative because you're assuming that the gas phase  
24 material has no toxicity.

25 DR. BARRY: Right. And you will get to that in

1 the document, because EPA made a judgment call that vapor  
2 phase is not something that they were looking at, because  
3 you could not get 10 percent acetylcholinesterase  
4 suppression at the saturated vapor pressure. And we  
5 elected to go with their judgment on that, so that's why  
6 we didn't look at vapor phase. So -- and the aerosol  
7 phase does plenty obviously.

8 PANEL MEMBER ANASTASIO: But the vapor phase is  
9 an open question potentially for the neurodevelopmental  
10 toxicology.

11 DR. BARRY: Well, that's for you guys to debate,  
12 right?

13 PANEL MEMBER ANASTASIO: Oh, okay.

14 DR. BARRY: So doe that --

15 PANEL MEMBER HAMMOND: Right. Does that -- So  
16 you start with -- you have a drop of a chemical in it and  
17 it changes size and then perhaps goes into the vapor  
18 phase. It will only go into the vapor phase, of course,  
19 by the, you know, the -- but other ever -- but the point  
20 really is that you will inhale that much vapor. And you  
21 can't say -- and I think you haven't done this, all right.  
22 So I'm even talking about the U.S. EPA thing.

23 But you can't say, oh, it all goes into the vapor  
24 phase, so we don't have to take it, so it's not in the  
25 particles. We're only monitoring the particles. But, in

1 fact, you've also said that it can't go into the vapor  
2 phase that's why we can ignore it. It's kind of a little  
3 bit of a --

4 DR. BARRY: We're not really ignoring it.

5 Oh, I'm sorry. I thought you were finished.

6 PANEL MEMBER HAMMOND: No, you aren't. You  
7 aren't --

8 DR. BARRY: Oh, okay.

9 PANEL MEMBER HAMMOND: -- but I mean it sounds  
10 like the EPA did.

11 DR. BARRY: So the primary drift part is the mass  
12 that's released from the aircraft during the application.  
13 And the AGDISP model handles that mass that's released  
14 from the aircraft, and it distributes it according to a  
15 droplet spectra that's produced by the nozzles on the  
16 aircraft and how fast it's going, what kind of aircraft it  
17 is, and things like that.

18 So all of the mass that is release from the  
19 aircraft is accounted for by the model, and then it's  
20 distributed down wind according to physics. So that's  
21 what we're using for our primary drift inhalation  
22 estimates. Vapor phase is going to be more related to  
23 secondary drift, which would be off-gassing from the  
24 application after the application is finished. And that's  
25 what EPA was pointing to with the vapor phase not being



1 something that they were going to look at.

2           The thing about the AGDISP model is the droplet  
3 spectra goes to be extremely small. And the question  
4 about the inhalable fraction actually is, okay, so do we  
5 do 100 microns, do we do 150, 200 microns, 50 microns, you  
6 know, we need to -- if we're going to adjust, we need to  
7 decide how we're going to adjust and that droplet spectra  
8 changes both with distance and with height.

9           Okay. So there's -- it's -- it's quite  
10 complicated. So we elected so far --

11           PANEL MEMBER HAMMOND: Right.

12           DR. BARRY: So we elected so far to assume that  
13 100 percent of the droplet cloud at a particular point,  
14 which is going to be the inhalable height of a child or  
15 the inhalable height of an adult at a particular distance  
16 down wind is going into the person. And some of those  
17 droplets are 250 microns, 350 microns. I mean, they're  
18 big, but we've assumed they're all inhaled right now.

19           So the question for you guys was should we still  
20 do that or should we look to try to really refine this  
21 model -- this exposure to what we know about the droplet  
22 spectra because model will give you all of the droplet  
23 spectra at any height and any distance. It's a pain to  
24 produce, but I could give it to you.

25           PANEL MEMBER ANASTASIO: Is there an example size

1 distribution in the documents?

2 DR. BARRY: Yes.

3 PANEL MEMBER ANASTASIO: Okay.

4 DR. BARRY: In the appendix of the memo at the  
5 end, I printed out the air concentrations and then it's  
6 the per below 100 microns I think or below 10 microns, but  
7 I can give you guys more data, if you want it. So I  
8 characterized what would happen if you made adjustments,  
9 you know.

10 CHAIRPERSON KLEINMAN: But I think it's important  
11 to keep in mind that what you're talking about is an  
12 aerosol.

13 DR. BARRY: Yes.

14 CHAIRPERSON KLEINMAN: And you've got these  
15 liquid droplets, and they're really suspended in saturated  
16 vapor. The vapor doesn't go away.

17 DR. BARRY: Oh, right. Right.

18 CHAIRPERSON KLEINMAN: So --

19 DR. BARRY: The model accounts for that.

20 CHAIRPERSON KLEINMAN: The model will capture --

21 DR. BARRY: Um-hmm.

22 CHAIRPERSON KLEINMAN: -- the amount of vapor  
23 that's there, and so because that's going to give you a  
24 constant background --

25 DR. BARRY: Right. Yeah.

1 CHAIRPERSON KLEINMAN: -- you know, moving along.

2 DR. BARRY: In fact, there has been a refinement  
3 to AGDISP model to account for the higher humidity in the  
4 cloud. They just -- in fact that's why we're using the  
5 version we're using accounts for that.

6 And the other thing that -- you'll see it in the  
7 memo, but the other thing about the AGDISP model is that  
8 it's -- it's been -- it was reviewed -- it was reviewed  
9 at -- Spray Drift Task Force workshop back in the  
10 nineties. It's used for regulatory purposes by EPA. I  
11 mean, this is not a, you know, sidebar model. We're using  
12 a really well established model here for a purpose that  
13 it's meant to be used for.

14 So going forward, as you look at the documents,  
15 you know, just keep that in mind.

16 PANEL MEMBER ANASTASIO: I think what mike was  
17 saying, and maybe he can clarify this, you're saying it's  
18 saturated in chlorpyrifos not water?

19 CHAIRPERSON KLEINMAN: Yeah.

20 PANEL MEMBER ANASTASIO: Right, but you're  
21 talking about water, saturated in water?

22 DR. BARRY: I'm talking about droplets with  
23 chlorpyrifos in them.

24 PANEL MEMBER ANASTASIO: Okay. You're talking  
25 about higher relative humidity in the cloud?

1 DR. BARRY: In the cloud of droplets. And each  
2 droplet has a certain amount of AI in it. I mean, that's  
3 what the model is doing. Yeah, and you can get the  
4 droplet spectra anywhere you want down wind. You can  
5 actually get it on field too. I mean, it's -- you know,  
6 you could get it for that too.

7 Well, actually, that's going to be what's  
8 released. That's the droplet spectra of the nozzle  
9 itself, so...

10 PANEL MEMBER ANASTASIO: While we're on AGDISP,  
11 one comment about charge question number 4. You assumed a  
12 fixed wing aircraft application, because there's no data  
13 for the other two application methods. I don't think our  
14 Committee has the expertise to know whether that's a good  
15 assumption or not. So my suggestion is before the January  
16 meeting, try to seek out people who might have that  
17 expertise. I would think somewhere in the U.C. system  
18 there must be people who do this, and they may be able to  
19 help you with that question, because I'm not sure that we  
20 have that expertise.

21 CHAIRPERSON KLEINMAN: Well, it looks like we're  
22 going to have a very exciting month ahead of us --

23 (Laughter.)

24 CHAIRPERSON KLEINMAN: -- just going through  
25 this.

1           Does anybody else have, you know, additional  
2 questions that we want to ask? I think we've sort of run  
3 the gamut on this, and we'll -- you know, I think one of  
4 the approaches that we can take now going forward is first  
5 off to look at, you know, the charge questions, which I  
6 guess represent some serious uncertainties that DPR has,  
7 and look at them and, you know, see whether what's, you  
8 know, in the report gives us enough information. If not,  
9 come up with a wish list of what we need to be able to  
10 answer the charge questions.

11           Because this is so complicated, I don't think our  
12 normal procedures of hire -- you know, of assigning one or  
13 two people as leads is going to be as effective as a  
14 lot -- you know, each area touches on different people's  
15 expertise.

16           And I think I would prefer, you know, after  
17 everybody has had a chance to really look at the document  
18 that we each carve out a section and -- you know, so for  
19 example, obviously, the epidemiology Paul and Kathy and  
20 Beate are probably closer to, you know, the human exposure  
21 data, and also the occupational exposure data, you know.  
22 And we each have our various toxicologic bents.

23           So if you could get back to me with, you know,  
24 what areas you would like to take the lead on, I think I  
25 would like to see this one done with sort of individual

1 responsibility for, you know, things that we're good at,  
2 rather than trying to cover the entire base, because we're  
3 all going to read this.

4 This is really an interesting document. It's a  
5 very interesting issue, and it's the first one we've had  
6 in, I guess, more than a decade, so I'd like to see this  
7 done really well.

8 On the -- now, our next meeting is scheduled for  
9 January 23rd. And so we'll be ready to start, you know, a  
10 really in-depth review at that point.

11 PANEL MEMBER RITZ: So, Mike, this is Beate. I  
12 Actually have to un, but I'm happy to review the epi,  
13 especially everything neurotoxic related.

14 CHAIRPERSON KLEINMAN: Okay. Great. Thank you,  
15 Beate.

16 PANEL MEMBER RITZ: And if you need me to review  
17 other parts, just let me know.

18 CHAIRPERSON KLEINMAN: Will do.

19 PANEL MEMBER RITZ: Okay. I will sign off  
20 then --

21 CHAIRPERSON KLEINMAN: Okay.

22 PANEL MEMBER RITZ: -- unless you have something  
23 else?

24 CHAIRPERSON KLEINMAN: No, I think we're just  
25 about to wrap-up too.

1           PANEL MEMBER RITZ:   Okay.  Thank you so much,  
2 Mike.

3           CHAIRPERSON KLEINMAN:  Thank you very much for  
4 joining us.

5           PANEL MEMBER RITZ:   Happy Holidays.

6           CHAIRPERSON KLEINMAN:  So just from the brief  
7 interlude here, I'm not going to, you know, make a  
8 prediction that we'll be anywhere near done on the 23rd,  
9 but I think we'll be able to make some really good  
10 inroads.  And I think eventually, we will be able to  
11 schedule, you know, to get this through and done.

12           I do want to congratulate DPR on a very, you  
13 know, interesting document.  I think -- you know, what  
14 I've seen of it, it looks, you know, really well done.  
15 You've responded to a lot of public comment already.  So  
16 at least the initial work is there.  It's a strong start.  
17 And I think we will be able to make good use of it.

18           PANEL MEMBER GLANTZ:  You know, to that end, you  
19 know, I haven't looked at the schedule, but, I mean, I  
20 think it would -- since I agree with you, the chances  
21 we're going to finish this at the next meeting is pretty  
22 small.  So I really think the staff ought to schedule  
23 several meetings now so that -- because -- you know,  
24 because scheduling is always hard, so that we can keep  
25 this thing moving forward at a good clip.

1           And if we finish faster than we want or we -- not  
2 than we want, faster than -- and we run -- and we don't  
3 need anymore meetings, I've never met a person who  
4 objected to a meeting being canceled.

5           (Laughter.)

6           PANEL MEMBER GLANTZ: But I think -- I think we  
7 want to -- we don't want to wait till January 23rd and so  
8 oh, we need to meet again, and then we end up meeting in  
9 April, because of scheduling problems. So I mean, Jim, I  
10 think ought to get on -- get this worked out now and for  
11 like having -- you know, schedule like three more meetings  
12 after that. We probably won't need them all, but to get  
13 it on the calendar.

14          PANEL LIAISON BEHRMANN: Okay.

15          PANEL MEMBER ANASTASIO: I have one point as  
16 well. I don't know in all the documents you gave us, if  
17 the 2016 EPA report is among them. It would be helpful to  
18 have access to that.

19          DPR ASSISTANT DIRECTOR VERDER-CARLOS: Yeah, we  
20 can -- we did not provide it in your packet, but we can  
21 certainly. It's available online, so we can download it  
22 and send it to you.

23          PANEL MEMBER ANASTASIO: Yeah, that would be  
24 great. And then is the SAP report separate from that?

25          DPR ASSISTANT DIRECTOR VERDER-CARLOS: Yes. Yes.



1           PANEL MEMBER ANASTASIO:   Okay.   That would  
2 be use --

3           DPR ASSISTANT DIRECTOR VERDER-CARLOS:   The SAP  
4 review came after their April -- the issue paper that they  
5 came out with in April, there was an SAP review, and that  
6 was the review that was given to them.   And then the  
7 November 2016 document was the last one that came out from  
8 EPA on chlorpyrifos.

9           PANEL MEMBER ANASTASIO:   Okay.   I think it would  
10 be helpful to have those last two pieces.

11          DPR ASSISTANT DIRECTOR VERDER-CARLOS:   Okay.

12          PANEL MEMBER ANASTASIO:   Thank you.

13          CHAIRPERSON KLEINMAN:   So, you know, in response  
14 to Stan, we probably should allow a month after the next  
15 meeting to allow DPR to respond to whatever comments.   And  
16 I suspect there will be a lot of them.   So can we schedule  
17 something like the -- let's say the week of the 12th of  
18 February or go on to the 20th maybe would be -- the week  
19 of the 20th.

20          PANEL LIAISON BEHRMANN:   This is Jim Behrmann,  
21 the panel liaison.   We will poll the Panel for the -- all  
22 of the months after February.   You're going to want to  
23 allow time for staff to revise the document, and then to  
24 send it out and have time for you to review it.

25          So giving the DPR staff a month say to revise the

1 document, we wouldn't to meet before March 23rd, but we  
2 will -- I think that's being quite ambitious actually.  
3 So --

4           PANEL MEMBER GLANTZ: Well, you know, I'm  
5 ambitious. I mean, I think we should schedule like a  
6 month -- a meeting every month now. And if we get  
7 there -- I mean, we'll have to see what we demands are put  
8 on DPR at the January meeting, and if we think that  
9 there's not enough time for them to deal with it and get  
10 the document back and give us enough time to read it, we  
11 always cancel a meeting, you know.

12           But I think we just -- I think -- you know,  
13 getting back to my earlier comments about the first  
14 document that it took a year. I think, we -- you know, we  
15 don't want to drag this process out just cause of  
16 scheduling. And, you know, so that -- my suggestion would  
17 be to try to have something on people's calendars every  
18 month for the next several months, and then we could just  
19 cancel them, if we don't need them.

20           But if we decide -- if decide we decide we need  
21 it, then scheduling something that quickly for most people  
22 is really hard, because you're talking about a whole day.

23           PANEL LIAISON BEHRMANN: As I said, we will poll  
24 the Panel, and I would ask that the Panel also be, if you  
25 can, make yourself as available as possible. We will have

1 to probably accept the fact that we will not have meetings  
2 with the entire Panel. It's very seldom that we're able  
3 to get all nine members, but we will poll and strive to do  
4 so.

5 DPR ASSISTANT DIRECTOR VERDER-CARLOS: Also, if  
6 there are questions before the January 23rd, you can  
7 please contact us, so that we can provide you with  
8 anything that you will need to review the document.

9 CHAIRPERSON KLEINMAN: Yeah. I think in terms of  
10 the logistics, we probably ought to funnel questions  
11 through Jim, because I guess we're not allowed to really  
12 caucus about things. And this way if it goes to Jim, he  
13 can send things to everybody and we can all see things, so  
14 we're not, you know, sending a lot of the same repetitious  
15 material over.

16 PANEL MEMBER GLANTZ: Yeah. Although, I can say  
17 that, you know, this is where it's good that I've been  
18 here forever. You know, there's -- it's not -- we cannot  
19 have a quorum to have a unnoticed meeting, but there have  
20 been other things that I've been involved with, where  
21 there have been meetings of one or two members with the  
22 appropriate State staff. I mean, I've done this several  
23 times to kind of hash things out in between the meetings,  
24 so that we don't have to waste a lot of time of the whole  
25 committee on some highly technical point that, you know, I

1 or one of the other members is upset -- not upset. That's  
2 the wrong word, but wants to -- doesn't understand or  
3 wants to discuss.

4 So, I mean, there's not -- for the new --  
5 relatively new members of the panel, I mean, if -- I think  
6 if anybody thinks it would be useful for one or two people  
7 to have a meeting with the DPR or OEHHA staff outside of  
8 the regular meeting process, that is permitted.

9 And you can call people. You can talk to them on  
10 the phone. You can do that. The thing we can't do is  
11 have a quorum of the Committee all, you know,  
12 deliberating. So, you know, I think -- I think  
13 fundamentally -- I mean, I think keeping Jim in the loop  
14 is a good idea, but there's no reason to overly  
15 bureaucratize the communication either.

16 CHAIRPERSON KLEINMAN: Okay. Well, if there are  
17 no other items to be brought before us, I would ask for a  
18 movement to adjourn.

19 PANEL MEMBER BLANC: I move.

20 CHAIRPERSON KLEINMAN: Paul moves.

21 PANEL MEMBER ANASTASIO: Second.

22 CHAIRPERSON KLEINMAN: Second by Cort.

23 We are adjourned.

24 (Thereupon the California Air Resources Board,  
25 Scientific Review Panel adjourned at 1:28 p.m.)

## 1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand  
3 Reporter of the State of California, do hereby certify:

4 That I am a disinterested person herein; that the  
5 foregoing California Air Resources Board, Scientific  
6 Review Panel meeting was reported in shorthand by me,  
7 James F. Peters, a Certified Shorthand Reporter of the  
8 State of California;

9 That the said proceedings was taken before me, in  
10 shorthand writing, and was thereafter transcribed, under  
11 my direction, by computer-assisted transcription.

12 I further certify that I am not of counsel or  
13 attorney for any of the parties to said meeting nor in any  
14 way interested in the outcome of said meeting.

15 IN WITNESS WHEREOF, I have hereunto set my hand  
16 this 3rd day of January, 2018.

17  
18  
19  
20 

21  
22  
23 JAMES F. PETERS, CSR  
24 Certified Shorthand Reporter  
25 License No. 10063