

MEETING
STATE OF CALIFORNIA
ENVIRONMENTAL PROTECTION AGENCY
AIR RESOURCES BOARD
SCIENTIFIC REVIEW PANEL
ON TOXIC AIR CONTAMINANTS

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY
SIERRA HEARING ROOM, 2ND FLOOR
1001 I STREET
SACRAMENTO, CALIFORNIA

WEDNESDAY, DECEMBER 14, 2016
10:09 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

Michael T. Kleinman, Ph.D., Chairperson

Cort Anastasio, Ph.D.

Jesús A. Araujo, M.D., Ph.D.

Paul D. Blanc, M.D.

Alan R. Buckpitt, Ph.D.

Sarjeet S. Gill, Ph.D.

Stanton A. Glantz, Ph.D. (via teleconference)

Beate R. Ritz, M.D., Ph.D.

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Peter Mathews, SRP Support Administration

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD ASSESSMENT:

Dr. John Budroe, Chief, Air Toxicology Risk Assessment Section

Dr. Daryn Dodge, Air, Community and Environmental Research Branch

Dr. Rona Silva, Air, Community and Environmental Research Branch

Dr. Kathleen Vork

Dr. Jianming Yang, Staff Toxicologist, Air, Community and Environmental Research Branch

I N D E X

PAGE

1. Review of "Ethylene Glycol mono-n-Butyl Ether Reference Exposure Levels" - SRP Review Draft (November 2016)

In March 2016 the Office of Environmental Health Hazard Assessment (OEHHA) presented to the Panel a draft technical support document summarizing the toxicity and derivation of proposed acute, 8-hour, and chronic RELs for ethylene glycol mono-n-butyl ether (EGBE). RELs are airborne concentrations of a chemical that are not anticipated to result in adverse noncancer health effects for specified exposure durations in the general population, including sensitive subpopulations. In this meeting OEHHA will present a revised technical support document that reflects changes recommended by the Panel.

After the Panel's review of the EGBE support document and OEHHA adoption, the document will be added to Appendix D1 of the "Air Toxics Hot Spots Program Technical Support Document for the Derivation of Noncancer Reference Exposure Levels" adopted by OEHHA in 2008.

2

2. Review of "Tertiary-Butyl Acetate Inhalation Cancer Unit Risk Factor" - SRP Review Draft (November 2016)

OEHHA staff will present their draft technical support document summarizing the carcinogenicity and derivation of an inhalation cancer unit risk factor for tertiary-Butyl Acetate (TBAC). OEHHA is required to develop guidelines for conducting health risk assessments under the Air Toxics Hot Spots Program (Health and Safety Code Section 44360(b)(2)). The TBAC unit risk factor was developed using the methodology contained in the "Air Toxics Hot Spots Program Technical Support Document for Cancer Potency Factors" finalized by OEHHA in 2009. After the Panel's review, the document will be revised in response to Panel comments, adopted by the

I N D E X C O N T I N U E D

	PAGE
OEHHA Director, and added to Appendix B of the Air Toxics Hot Spots Program Technical Support Document for Cancer Potency Factors.	46
3. Consideration of administrative matters.	
The Panel may discuss various administrative matters and scheduling of future meetings.	165
Adjournment	171
Reporter's Certificate	172

1 P R O C E E D I N G S

2 CHAIRPERSON KLEINMAN: Okay. I'd like to call
3 this meeting to order. And I want to welcome you to the
4 meeting of the Scientific Review Panel.

5 Peter, are you going to do a roll call or --

6 MR. MATHEWS: I can or you can.

7 CHAIRPERSON KLEINMAN: Why don't we just go
8 around the table starting with Dr. Buckpitt, and just --

9 PANEL MEMBER BUCKPITT: Alan Buckpitt.

10 PANEL MEMBER BLANC: Paul Blanc.

11 PANEL MEMBER ARAUJO: Jesús Araujo.

12 CHAIRPERSON KLEINMAN: Mike Kleinman.

13 PANEL MEMBER RITZ: Beate Ritz.

14 PANEL MEMBER ANASTASIO: Cort Anastasio.

15 PANEL MEMBER GILL: Sarjeet Gill.

16 PANEL MEMBER GLANTZ: Stan Glantz.

17 CHAIRPERSON KLEINMAN: I think -- we don't have
18 anybody on the phone, right?

19 MR. MATHEWS: Kathy is not here. That's the only
20 one.

21 CHAIRPERSON KLEINMAN: Right. Okay. In that
22 case, I want to welcome everybody here. We have a couple
23 of goals for this meeting. We have 2 agenda items. And
24 the first item is going to be a second review of the
25 reference exposure levels for ethylene glycol mono-n-butyl

1 ether or EGBE. These are RELs that were developed using
2 risk assessment methodology for developing RELs under the
3 Air Toxics Hot Spots Program.

4 This document went -- underwent an initial review
5 at the Panel's meeting in March of 2016. The Panel
6 provided comments to OEHHA, and they made changes to the
7 documents in response to those comments.

8 And the changes are reflected in the current SRP
9 draft. The lead Panel members for this chemical are Drs.
10 Buckpitt and Hammond. Dr. Hammond is not here today, but
11 Dr. Buckpitt will lead the discussion. So we're going to
12 start out with a presentation from the OEHHA staff on EGBE
13 documents, and include commentary on the response to the
14 SRP comments.

15 Then we'll discuss and provide feedback on the
16 document. And the materials for the meeting were already
17 provided to the members and are available on the website
18 for the public.

19 So why don't we start with the staff
20 presentation.

21 DR. BUDROE: Yes, we will. Good morning. And
22 this is going to be a team presentation. Dr. Jianming
23 Yang will be doing the slide presentation, and then Drs.
24 Daryn Dodge and Rona Silva will be available for answering
25 questions as well as Dr. Yang.

1 rat data only. And the chronic REL is 77 micrograms per
2 cubic meter.

3 Next slide.

4 --o0o--

5 DR. YANG: Slide 4 is a case study for acute REL
6 that derivation. We use the Carpenter study that include
7 3 human volunteers inhalation studies. Each study
8 included 2 to 4 human subjects. And they use 3 different
9 exposure levels with the whole body exposures.

10 Next slide.

11 --o0o--

12 DR. YANG: Slide 5 is continued review of the
13 case studiers. The exposure either 8 hours or 4 hours.
14 And chronic effect is ocular and nasal irritation. We use
15 the LOAEL 98 ppm as the point of departure.

16 Next slide.

17 --o0o--

18 DR. YANG: Slide 6. The acute REL is a no time
19 adjustment is needed. The LOAEL uncertainty factor equal
20 to 10 by default. Interspecies uncertainty fact equal to
21 1. Intraspecies toxicokinetic UF equal to 1, and
22 intraspecies toxicodynamic UF equal to 10.

23 So the cumulative uncertainty factor is 100. We
24 go to the acute REL is 4700 micrograms per cubic meter.

25 Next slide.

1 --o0o--

2 DR. YANG: Slide 7. This is for 8-hour and
3 chronic REL derivation. We use the NTP 2-years inhalation
4 studies in rodents. The sample size is 50 per sex per
5 group of rat or mice. The exposure is 6 hours per day, 5
6 days per week for 2 years.

7 For rat, the exposure concentration is 31, 62.5,
8 and 125 ppm, plus control group. For mice, the exposure
9 is 62.5, and 125, and 250 ppm.

10 Next slide.

11 --o0o--

12 DR. YANG: Slide 8 is continue REL of the case
13 study for the 8-hour and the chronic REL. We are mainly
14 focused on the non-neoplastic effects in rats. The effect
15 incurred hyaline degeneration of the olfactory epithelium
16 and Kupffer cell pigmentation in liver.

17 In mice, include forestomach ulcers and
18 epithelial hyperplasia, hematopoietic cell proliferation,
19 and hemosiderin pigmentation in the spleen, hepatic
20 Kupffer cell pigmentation, and bone marrow hyperplasia.
21 This is the males only.

22 Next slide.

23 --o0o--

24 DR. YANG: Slide 9 is for 8-hour REL derivation.
25 The critical effect is rat in nasal hyaline degeneration.

1 The point of departure is 8.2 ppm. Time-adjusted exposure
2 is equal to the point of departure multiple the 6-hour per
3 day and multiple 5 days per week, pique and was a multiple
4 of 20 divided 10 formula. We got 2.9 ppm.

5 Human equivalent concentration is time -- equal
6 to time-adjusted exposure multiple regional doses --
7 regional gas dose ratio equal to 1 ppm. The cumulative
8 uncertainty factor equal to 30. We got the 8-hour REL at
9 164 micrograms per cubic meter.

10 Next slide.

11 --o0o--

12 DR. YANG: Slide 10 is for the chronic REL
13 derivation. This actually is the same as the 8-hour REL
14 derivation, except the time-adjusted exposure with other
15 multiples of 10 divided -- multiplied 12 -- 20 divided by
16 10 formula. So we go to the chronic REL equal to 82
17 microgram per cubic meter.

18 Next slide.

19 --o0o--

20 DR. YANG: Slide 10. These are the SRP comments
21 from the last EGBE SRP meeting.

22 Update old production and usage information with
23 more recent findings; add information regarding measured
24 EGBE concentrations outdoors; include EGBE air
25 concentrations following use of clean products and add

1 associated paper by Nazaroff; present high EGBE
2 concentrations measured indoors, not just mean values.

3 --o0o--

4 DR. YANG: Next slide is OEHHA response. We
5 checked the ACC and the ACS publications. We updated the
6 most recent annual EGBE production in the U.S., and we
7 also added our favorite in the draft document.

8 The outdoor concentration of EGBE measured by
9 Daisey and Nazaroff and Weschler, Singer were added. We
10 also include the maximum concentration from the several
11 studies.

12 Next slide.

13 --o0o--

14 DR. YANG: Slide 13, SRP comments. Clarify hot
15 spots reporting and add California emission trends.

16 OEHHA response. We added California Toxics
17 Inventory EGBE emission at the tons per year. And we also
18 add a figure for this in the draft document.

19 Next slide.

20 --o0o--

21 DR. YANG: Slide 14 is the SRP comment about the
22 toxicokinetics part.

23 General comments. Reorganize the section to
24 increase consistency and clarity; emphasize the importance
25 of inhalation exposure, and discuss the study by Corley

1 first, then summarize the study limitations of Johanson
2 and Boman that used finger pricks versus venous blood
3 draws; include papers by Hung and Korinth regarding
4 toxicokinetics and occupational dermal exposure to EGBE.

5 Next slide.

6 --o0o--

7 DR. YANG: Slide 15 is OEHHA response. The
8 section 4 toxicokinetics has been reorganized as
9 requested. We discussed the Corley, and Johanson and
10 Boman studies. We also included Hung and Korinth study in
11 the section 4.1.

12 Next slide.

13 --o0o--

14 DR. YANG: Slide 16 is OEHHA comments -- is SRP
15 comment. In the metabolism and elimination section
16 provide a table of the different studies and separately
17 discuss differences due to species, age, and metabolic
18 patterns.

19 Next slide.

20 --o0o--

21 DR. YANG: Slide 17, SRP comment continued.
22 Include additional table of metabolics --
23 metabolites, with percentages of each excreted from mice,
24 rats, and humans, and change the structural formulas in
25 the figure 3 to conform ACS journals; evaluate

1 butoxyacetic acid, BAA, as a biomarker of exposure and
2 clarify the term "urine half-life".

3 Next slide.

4 --o0o--

5 DR. YANG: Slide 18 is OEHHA response. We added
6 the subsection 4.3 about species differences in metabolism
7 and elimination of EGBE and the subsection 4.4 about age-
8 and sex-related differences in rodents.

9 We also added table 4 and modified the EGBE
10 metabolism structures as requested remove that in the
11 figure.

12 Clarified the total urinary BAA as the most
13 appropriate biomarker of the EGBE exposure, and urinary
14 elimination half-life was defined.

15 Next slide.

16 --o0o--

17 DR. YANG: Slide 19, SRP comment.

18 Unclear description of metabolism and urine EGBE
19 and BAA conjugation in rats and humans.

20 OEHHA response. Metabolism discussion revised
21 and clarified in response to comments. I remember our
22 original discussion for the toxicokinetic part. We only
23 have the 5 pages, but in all it's 11 pages.

24 Next slide.

25 --o0o--

1 DR. YANG: Slide 20, OEHHA response continued.

2 Description of urinary metabolites of EGBE has
3 been discussed in greater detail. In rats, free BAA is
4 the primary metabolites, but a small amount of EGBE
5 glucuronide, and EGBE-sulfate conjugates are also secreted
6 in urine.

7 In humans, urinary secretion of BAA is mainly in
8 the form of BAA conjugates with glutamine, a small --
9 smaller amount is excreted as a free BAA.

10 Next slide.

11 --o0o--

12 DR. YANG: Slide 21, SRP comment

13 PANEL MEMBER BLANC: Just what do you mean by
14 smaller? What do you mean by that?

15 DR. YANG: Smaller, yeah, I think it may be even
16 less than 10 percent or something. But I suppose we will
17 make -- we will arrange these better, this small or
18 larger. Smaller is not a clear term. I'm sorry for that.
19 Yeah, yeah. I guess is less than 10 percent, yeah, yeah,
20 yeah. Yeah, I suppose give a definition of that. Sorry.

21 PANEL MEMBER BLANC: Yeah, because all of the
22 issue of the hemolysis revolves around whether or not the
23 free acid is present or not present. I think it's why you
24 have to be a bit more meticulous.

25 DR. YANG: We better, you know, yeah, clarify

1 that. Yeah, I understand what you mean. Yeah. Thank
2 you. Yeah. Yeah.

3 Actually, you know, in humans, for that, you
4 know, the variations were bigger. You know, some people
5 conjugation may be in more than 90 percent in some people
6 and maybe less than 10 percent. But yeah, yeah -- but I
7 think we read in the draft document for the slide, yeah,
8 yeah.

9 Daryn will --

10 DR. DODGE: Yeah. This is Daryn Dodge.

11 By smaller amount, generally meant smaller than
12 the conjugate form. It is sort of a general comment
13 there. But in humans, about one-third of 24 BAA
14 conjugates, and then the free form, and about two-thirds
15 of it is conjugate with glutamine.

16 It's kind of spelled out here in table 4 on page
17 21. The proportions of the metabolites -- urinary
18 metabolites.

19 PANEL MEMBER BLANC: Sorry.

20 DR. DODGE: And you can make comparisons with the
21 percent of BAA released or found in urine in this table
22 with the animal studies as well.

23 DR. YANG: Yeah, so you can see the variations
24 were bigger, but yeah.

25 PANEL MEMBER BLANC: I'll be happy to follow up

1 when we get to that -- to the discussion.

2 DR. YANG: Okay. So next slide.

3 --o0o--

4 DR. YANG: Slide 21, SRP comments include
5 separate subsection for acute and chronic animal studies;
6 2, controlled human exposure studies; 3, accidental
7 inhalation, dermal, and acute oral ingestion (poisoning)
8 case studies; 4, add a table of toxics sequelae.

9 Discuss human exposure study by Bauer, Hung, and
10 Rella.

11 Next slide.

12 --o0o--

13 DR. YANG: Slide 22, OEHHA response.

14 We added the subsections 5.1.1 and 5.1.2 in the
15 revised document and summarized human inhalation studies,
16 including occupation and chamber studies, and high-dose
17 oral exposure, respectively.

18 Table 5 include a summary for clinical responses
19 observed in several human oral poisoning case studies.

20 Next slide.

21 --o0o--

22 DR. YANG: Slide 23, SRP comment.

23 Qualitative evaluation is needed of the studies
24 presented, including their strengths and weaknesses.

25 Next slide.

1 --o0o--

2 DR. YANG: Slide 24, SRP comment continued.
3 Example, the key acute REL study, Carpenter study, is
4 limited by unstated purity of test substance, imprecise
5 performance of inhalation exposures, questionable test
6 substance measurement methods with unknown error and the
7 poor reporting.

8 Next slide.

9 --o0o--

10 DR. YANG: Slide 25, OEHHA response.
11 Limitations and advantages of the Carpenter
12 study, and other human chamber studies are now discussed
13 in sub -- in section 8.1. We added a discussion of
14 potential impurities and measurement method; and
15 additional support for basing the acute REL on Carpenter
16 study is presented.

17 For example:

18 Next slide.

19 --o0o--

20 DR. YANG: Slide 26, human toxicokinetic studies,
21 such as Johanson study has better methods, but tested a
22 single dose only established a free-standing NOAEL, had
23 small sample size, and mainly focused on the ADME. There
24 is a high risk of miss some adverse effect.

25 Carpenter study has 3 dose groups, was designed

1 to examine irritation effects addressed at both subjective
2 and objective symptoms and established the LOAEL.

3 Next slide.

4 --o0o--

5 DR. YANG: Slide 27 is OEHHA response continued.

6 For REL derivation, a study with LOAEL is
7 preferred study with only free standing NOAEL. This is
8 based on the OEHHA guidance on the 2008.

9 A new table, Table 9, added compares NOAEL and
10 LOAEL for the red blood cell hemolysis in rodent EGBE
11 exposure studies.

12 Next slide.

13 --o0o--

14 DR. YANG: Slide 28, OEHHA response continued.

15 The NOAEL and LOAEL in rodents from Carpenter
16 study was roughly 2-fold greater compared to the NOAEL and
17 LOAEL in later rodent studies by Tyl, NTP, and Dodd.

18 Next slide.

19 --o0o--

20 DR. YANG: Slide 29. SRP comment. Given the
21 subpar quality of the Carpenter study using today's
22 standard, it may be helpful to discuss how the draft acute
23 REL would change if the Johanson study was used, and
24 whether the study is more appropriate for setting the a
25 cute REL.

1 Next slide.

2 --00o--

3 DR. YANG: Slide 30, OEHHA response. We added a
4 discussion comparing the 2 studies using the Johanson
5 study 20 ppm free-standing NOAEL as a point of departure,
6 an intraspecies uncertainty factor equal to 10 is applied
7 resulting in an acute REL of 2 ppm. This value is twice
8 the REL value of 1 ppm that was derived from the Carpenter
9 study.

10 Next slide.

11 --o0o--

12 DR. YANG: Slide 31, SRP comment.

13 How does incidence of nasal olfactory epithelial
14 hyaline degeneration, liver Kupffer cell pigmentation,
15 forestomach epithelial hyperplasia, and forestomach ulcer
16 in NTP study compare to historical NTP controls.

17 Next slide.

18 --o0o--

19 DR. YANG: Slide 32 is OEHHA response. Referring
20 to the pathology tables for all routes/vehicles on the NTP
21 historical control database, we cannot find related data.
22 Historical incidence data were available primarily for
23 tumor and cancer endpoints.

24 Next slide.

25 --o0o--

1 DR. YANG: Slide 33, SRP comment.

2 Perform a trend test on NTP incidence data to
3 show a monotonic relationship between the dose and the
4 response. Add the sex variable to test whether there is a
5 significant difference between male and female rats. If
6 there is no difference, combine data from male and female
7 rats and model them such that the 31.2 ppm exposure dose
8 is the LOAEL for the nasal hyaline degeneration endpoints.

9 Next slide.

10 --o0o--

11 DR. YANG: Slide 34, OEHHA response. Cal
12 Cochran-Armitage trend test P-values from the BMDS has
13 been added to the table 8 to show the dose response
14 relationships

15 Logistic regression was performed to determine
16 the relation between rat sex, EGBE exposure, and incidence
17 of olfactory epithelial hyaline degeneration.

18 Next slide.

19 --o0o--

20 DR. YANG: Slide 35, OEHHA response. A Wald test
21 indicated that sex was not a significant factor for nasal
22 olfactory epithelial hyaline degeneration in rats.
23 Combining male and female rats for the BMCL estimation is
24 applicable for the nasal endpoint.

25 Next slide.

1 --o0o--

2 DR. YANG: Slide 36, incidence of nasal olfactory
3 epithelial hyaline degeneration from male and female rats
4 in the NTP 2-year study was combined.

5 The BMDL from combined male and female rat data
6 serves as a point of departure to develop 8-hour and
7 chronic RELs. The calculated 8-hour and chronic RELs are
8 164 micrograms per cubic meter and 82 micrograms per cubic
9 meter respectively.

10 Next slide.

11 --o0o--

12 DR. YANG: Slide 37. Other changes to the
13 document.

14 Clarified ambiguous terminology, such as
15 significant and reasonably. Some mouse parameter data was
16 excluded in table 10, as trend tests suggested there are
17 no significant dose responses. No significant difference
18 was observed by pairwise comparison for the severity of
19 hyaline degeneration in high-dose exposure between male
20 and female rats.

21 --o0o--

22 DR. YANG: Next slide. Questions part.

23 PANEL MEMBER BLANC: Wasn't there one more slide
24 you have?

25 DR. YANG: One more?

1 DR. BUDROE: No. We had a slide that should have
2 been dropped from the presentation.

3 PANEL MEMBER BLANC: Okay.

4 CHAIRPERSON KLEINMAN: Okay. Thank you very much
5 for going through the changes that were in the document.
6 I'd like to open it to Dr. Buckpitt to lead off the
7 discussion.

8 PANEL MEMBER BUCKPITT: Yes. Good morning. I'm
9 going to make this very brief. I would compliment OEHHA
10 on really revising their report significantly and doing a
11 pretty good job of doing that.

12 As you might remember, I had a lot of problems
13 with the use of the Carpenter study, the 1956 study. And
14 I think in the revised document, OEHHA does a very good
15 job justifying the use of that study, showing --
16 essentially discussing all of the limitations of the
17 study, and showing the reasons for its use in setting the
18 acute REL. So I thought that was very well done.

19 The addition of the information on exposure
20 levels, the updated information on levels out in the
21 atmosphere I think really work quite well. The
22 toxicokinetic and metabolism studies are now in a table.
23 For me, it was much easier to read and to understand.
24 Certainly, I felt in the original document, animal studies
25 were included with the humans. And I had a lot of trouble

1 following the logic in that. It may be my problem. But
2 the addition of the table, the separation of the animal
3 from human studies I think really worked well.

4 And I think overall the revised document is
5 clearly organized. It uses tables to present the
6 summaries. It is more evaluative. It showed that you'd
7 look at the data and said, all right, these are the strong
8 points of the studies, these are some of the limitations
9 of the studies. I think that's really important when you
10 go through and use a study to set your routes.

11 So I was quite satisfied with the revised
12 document. And that's pretty brief.

13 CHAIRPERSON KLEINMAN: Thank you.

14 Okay. I'd like to open it up to the rest of the
15 Panel.

16 PANEL MEMBER BLANC: Do you not have any comments
17 from the other lead at all? And I understand she's not
18 here, but she should have supplied you with some written
19 comments.

20 CHAIRPERSON KLEINMAN: I didn't receive any.

21 PANEL MEMBER BLANC: I think then the minutes
22 should reflect that there were no comments at all received
23 from the second lead.

24 And I would urge that in the future if a lead
25 can't be present at a meeting, that they be required to

1 submit some comments.

2 CHAIRPERSON KLEINMAN: Yeah. I did check back
3 before I came up and I had not seen any commentary from
4 her.

5 John, you didn't hear anything from Kathy?

6 DR. BUDROE: No I have not received any
7 comments -- written comments or email from Dr. Hammond.

8 CHAIRPERSON KLEINMAN: Thank you.
9 Sarjeet.

10 PANEL MEMBER GILL: Mike, I was not here for the
11 previous meeting the first time the revision went, but I
12 actually -- one of the things I'm still a bit unclear as
13 to -- this compound has not been regulated right now, is
14 it, or is this the first time the REL study has been made?

15 DR. BUDROE: No, there is a -- the REL is being
16 revised in response to the new methodology.

17 PANEL MEMBER GILL: Okay. So one question I have
18 is I do not see actually in item 3 point source emissions.
19 Any particular part that says how much is being emitted as
20 to why that levels are exiting at certain levels in the
21 environment, as to on the basis of why certain regulations
22 is being put to monitor toxic exposure?

23 In section 3, correct, that's where you wanted
24 production. Major uses and occurrence is fine. And the
25 question is concentrations and emission rates occurs in

1 table 2. The reason -- my only question is I was a bit
2 still confused as to whether the levels are still in --
3 are exceeding the REL levels or not? That's the only
4 question I have. It was not clear to me.

5 DR. BUDROE: Right. Well, for example, the
6 section 3, table 2 and table 3 are actually indoor air
7 emissions rates, and would not -- you wouldn't
8 necessarily -- wouldn't apply a REL to it. They are not
9 regulated under the Hot Spots Program, so they're more for
10 informational purposes.

11 PANEL MEMBER GILL: So my question is where is
12 the information on the basis of why the hot spots issue
13 comes up, so that you are now developing a REL for that --
14 a revised REL?

15 DR. BUDROE: Well, where it would come up would
16 be, for example, if you had -- if an individual facility
17 that was using EGBE say for degreasing, let's say, and
18 emissions from those processes were going out into the
19 surrounding community, the facility would have to model
20 those emissions and would have to apply the RELs to those
21 emissions to get either acute or chronic hazard indices.

22 PANEL MEMBER GILL: So where in the document is
23 there an example of where that documentation is present
24 that those levels are exceeding certain levels that,
25 therefore, implementation of a REL is needed?

1 DR. BUDROE: Well, EBGE is a chemical that's
2 required to be quantified under hot spots. But, I mean,
3 hopefully, you would hope that none of the facilities
4 would be -- you know, wind up putting out a concentration
5 in the communities exceed the REL. I mean, this is more
6 for preventing -- hopefully, for preventing that from
7 happening.

8 But that goes more to the risk management side,
9 which Air Resources Board, more importantly the air
10 districts handle.

11 PANEL MEMBER ANASTASIO: Can I ask a related
12 question?

13 So in the studies that looked at occupational
14 concentrations, some of those were well above the REL.
15 And I know that's not an ARB issue, but how can we
16 communicate that to whomever it is an issue? Is this an
17 OSHA issue or --

18 DR. BUDROE: It would probably be a U.S. OSHA or
19 Cal/OSHA issue.

20 PANEL MEMBER ANASTASIO: And is there some way
21 that the information that ARB -- or sorry, OEHHA has
22 revised the REL can somehow be communicated to them, so
23 that they might realize it's an issue for occupational
24 exposures?

25 DR. BUDROE: That's something that OEHHA doesn't

1 really have statutory authority for. Certainly, if, you
2 know, the concerned public were to see the EGBE REL, and
3 if they knew that occupational exposures were exceeding
4 the REL, that they might comment to Cal/OSHA, and suggest
5 they take that up as an issue, but --

6 PANEL MEMBER ANASTASIO: But there's no line of
7 communication between OEHHA and Cal/OSHA on this?

8 DR. BUDROE: No, there is no direct statutory
9 line.

10 PANEL MEMBER ANASTASIO: Yeah.

11 CHAIRPERSON KLEINMAN: But the RELs are designed
12 for general population, not for the occupational
13 population, and so the guidelines are totally different.
14 You would -- I doubt very much whether you'd find any
15 occupational, you know, PEL that was as low as an REL,
16 because the RELs take into account, you know, the much
17 broader spectrum of human susceptibility than in the
18 workplace.

19 PANEL MEMBER ANASTASIO: Yeah. But I would think
20 something like the 8-hour REL would be appropriate for a
21 workplace. I don't know. Paul is shaking his head at me.

22 PANEL MEMBER BLANC: From your mouth to God's
23 ears.

24 (Laughter.)

25 PANEL MEMBER BLANC: It should only be.

1 PANEL MEMBER ANASTASIO: It only be.

2 PANEL MEMBER BLANC: But it's never going to be.

3 PANEL MEMBER ANASTASIO: It's not going to be.

4 Okay. All right. Thank you.

5 CHAIRPERSON KLEINMAN: I suspect that when PELs
6 are laid out, the REL, if it exists, is looked at, but in
7 general, they -- you know, I think it would be considered,
8 you know, over-conservative for much of the working
9 population to -- you know, because we're trying to set up
10 protections under the RELs for children, for very
11 susceptible people that would not, you know, enter the
12 workplace.

13 But, you know, it's a good point that, you know,
14 it certainly should be looked at, you know, for something
15 that is considered to have a very low REL. One would need
16 to, you know, see how that fits in with the potential for
17 risk to the healthy worker.

18 DR. BUDROE: We have been invited to PEL working
19 group meetings from time to time. It's just -- it's not
20 an automatic thing.

21 CHAIRPERSON KLEINMAN: Okay. Dr. Glantz, did you
22 have any comment?

23 PANEL MEMBER GLANTZ: No. I did read everything,
24 but I think that the main issues were -- had to do with
25 the toxicological questions not the areas that I have

1 particular expertise. And from what I can see, as
2 somebody who's read a lot of these, I agree that I think
3 the OEHHA people were quite responsive.

4 CHAIRPERSON KLEINMAN: Dr. Ritz, do you have any
5 comments?

6 PANEL MEMBER RITZ: There's very little
7 epidemiology in here, so -- but I did read with interest
8 all of the toxicology, and I thought that was described
9 quite well. So the only note I had was on page 36, 37
10 where a chronic toxicity study in infants and children was
11 described. And when the indoor air concentrations are
12 mentioned, the text jumps from milligram per cube to
13 micrograms per cube between the cases and controls. And
14 that's kind of hard to -- you have to kind of translate
15 that.

16 So throughout I would recommend that, you know,
17 those kind of units should be stated in the same way,
18 especially when you are comparing cases and controls. But
19 otherwise, I thought it was very readable.

20 PANEL MEMBER ANASTASIO: Just one note about
21 that. The same thing happens in sections 3.3 and 3.4, you
22 know, between milligrams per cubic meter, micrograms per
23 cubic meter. It would be helpful I think if all the units
24 throughout the document were expressed as the same units
25 as the REL itself, so you don't have to do the -- I mean,

1 it's a factor of 1000, which isn't that big a deal, but
2 it -- it makes it a little more complicated than it needs
3 to be.

4 CHAIRPERSON KLEINMAN: Dr. Blanc, do you have any
5 other --

6 PANEL MEMBER BLANC: You don't? What are you
7 chopped liver?

8 CHAIRPERSON KLEINMAN: Well, no --

9 PANEL MEMBER ARAUJO: I come after you.

10 PANEL MEMBER BLANC: All right.

11 CHAIRPERSON KLEINMAN: Just going up the line.

12 PANEL MEMBER BLANC: Okay. So first, I want to
13 preface this by saying none of my comments should be
14 comments that would require a resolution where the
15 document needs to come back yet again to the Committee,
16 but should be considered in minor revisions that might
17 happen with a resolution accepting the document, pending
18 such revisions. That's the first thing.

19 So I want to -- and I was lucky in a way that I
20 hadn't been involved in the previous discussion, so the
21 document I read de novo was the revised document to
22 address some of the confusions. And yet, there were
23 certain key topics areas in which I still was not
24 completely clear reading it. So let me walk through what
25 I understand the implications of the toxicology is, in

1 terms of what the sensitive endpoints were that you used
2 to derive the standard?

3 And obviously, the big -- the big issue is red
4 blood cell fragility and hemolysis, which is an issue for
5 rats and mice, and much less of an issue for other
6 species. And that's why I asked the question about what
7 does less mean.

8 DR. YANG: Yeah, yeah.

9 PANEL MEMBER BLANC: But the actual numbers that
10 you have show pretty wide variation. And this comes to
11 whether there are sensitive subpopulations in humans that
12 for whom the metabolism of this chemical would lead to the
13 free acid that was not conjugated. And are you -- are you
14 putting your 2 cents down on the factor which is the link
15 between the red blood cell fragility in rodents. Greater
16 fragility is greater proportion of the free acid that that
17 is in fact the mechanism?

18 Because there was a little bit of -- if that's in
19 there, it's kind of buried in there, because that would
20 have implications for the relevance of that endpoint,
21 right? If you believe that the 10 percent of humans have
22 equivalent levels of the acid to a rodent, then any data
23 that suggests there's a subpopulation of humans that would
24 respond like a rodent becomes quite relevant.

25 You clearly showed that in 1 or 2 of the overdose

1 cases there was some hemolysis. But then when you discuss
2 these, there was one interesting study which did show some
3 red blood cell effects. And then you said, well, we're
4 discounting that because the fall in hematocrit was still
5 within normal range, which I found not a reasonable reason
6 to reject the study.

7 There's a small matter, and this you really do
8 need to correct. The other -- this is more nebulous, and
9 you can explain it to me maybe, and go back yourselves and
10 decide what -- how you might handle it. But there was one
11 point where you said there was a 3 percent fall in
12 hematocrit, and that was not clinically meaningful. It
13 was statistically significant.

14 Well, hematocrit is usually reported as percent.
15 So I don't know if you mean 3 percent of the percent
16 hematocrit or you mean a 3 percent fall? A 3 percent fall
17 in hematocrit would be a transfusion of 1 unit of blood.
18 So that's not trivial at all. In fact, that's clinically
19 when you say somebody actually really is losing blood
20 somewhere.

21 Now -- so I don't know what you meant, because I
22 didn't go back and pull the study and read it. But you
23 should either clarify that it was a percent of a percent
24 that you're talking about, and what the actual percent
25 hematocrit fall was, or you should delete the comment that

1 that's not clinically meaningful.

2 DR. BUDROE: Okay. So we need to clarify whether
3 that's an absolute or a relative decrease.

4 PANEL MEMBER BLANC: Right. And then -- and I
5 don't think that the argument that whatever fall there
6 was, they still weren't outside the range of normal is the
7 point, right? Because you're talking about whether it's
8 real or not, not did they become clinically anemic. You
9 know, is Group A of workers clinically -- this is, I
10 think, the decal workers in Taiwan, wasn't it, if I recall
11 correctly?

12 DR. DODGE: Yeah, that was one of the studies
13 where they did see a reduction, or a -- in red blood
14 cells. But that study, the exposed group also had a lot
15 of dermal exposure. So that was probably the main reason
16 they were seeing some slight reductions.

17 PANEL MEMBER BLANC: Well, I don't think you were
18 going to try to use it. You were mixing apples and
19 oranges.

20 DR. DODGE: No, we couldn't use it.

21 PANEL MEMBER BLANC: I'm not saying you should
22 use that to get a REL. You couldn't anyway. But if the
23 argument is hemolysis is not a relevant endpoint in
24 humans, therefore we shouldn't use any rodent study with
25 hemolysis, and then you have a human occupational study

1 that shows that there's some effect on red blood cells, it
2 undermines that argument.

3 And similarly, if the argument is the reason why
4 it matters in rodents and it doesn't matter in humans is
5 because we conjugate and they don't, and then you say,
6 but, you know, about half the people don't conjugate,
7 that's also, you know, not reassuring, shall I say, or has
8 to be dealt with in a more head-on way.

9 If you -- you can say it's a limitation or we
10 realize this, but even so. So I'm not saying go back
11 change your REL, but I think that the logic of some -- at
12 some points in the document, the logic is not convincing,
13 or my disbelief is not suspended, or whatever, you know,
14 euphemism you want to use.

15 DR. DODGE: Right. We include a sentence, I
16 believe, in the chronic REL section where we look at these
17 occupational studies and decided that there just wasn't
18 enough evidence there to really base a REL on hemolysis.

19 PANEL MEMBER BLANC: And I'm not --

20 DR. DODGE: But we could -- what you're saying is
21 we really need to go into that a little more instead of
22 just stating that we didn't think --

23 PANEL MEMBER BLANC: Well, and the rationale for
24 stating it was partly, you put in parentheses, an per the
25 EPA this is still with -- the fall was still within the

1 normal range. And I don't -- that's not a legitimate
2 argument whatsoever, in my view. That's not a public
3 health protective argument, you know, that the workers
4 weren't frankly anemic, and therefore, it wasn't a real
5 effect, or it wasn't a substantive effect.

6 Does that make sense?

7 DR. DODGE: Yes.

8 PANEL MEMBER BLANC: I'm not arguing go back and
9 redo the REL. I'm -- these are things that I think you
10 can deal with, but you -- I think could be dealt with
11 better. And in a similar vein, the key, you know, table,
12 figure that -- that's in the current document, which is
13 the metabolism. And I guess you -- what you did was you
14 put in structures there or something in response to the
15 previous -- I didn't -- it's on page 14, and it's adapted
16 from two different sources, right?

17 So, I mean, that's a pretty key figure for
18 anybody reading this document, right, that's trying again
19 to get at this question of the free acid, right? I mean,
20 you would agree with that?

21 DR. BUDROE: We would.

22 PANEL MEMBER BLANC: Yeah. So the glutamine
23 conjugate, which is the dominant one in humans, first of
24 all, you can't tell from that figure that the glycine
25 conjugate is a trivial human metabolic pathway, right? I

1 mean, you say that elsewhere, but the figure could put in
2 that same parentheses -- first of all, you don't mean --
3 do you mean human only? You don't know that other
4 primates don't. You just mean that non-rats or something,
5 right?

6 DR. BUDROE: Correct.

7 PANEL MEMBER BLANC: So you might -- I mean --

8 DR. BUDROE: Well, it's -- I mean, we could -- it
9 would be kind of difficult to put all of the qualifiers in
10 the actual graphic.

11 PANEL MEMBER BLANC: I would just put humans
12 only, not -- not present in rats and mice, or something.
13 I mean, that would be my own choice. I don't live or die
14 by that, but it's a little misleading. But in any event,
15 I think you could say minor and major, you know, in the
16 same thing. And without -- without only -- if you'd said
17 minor human metabolic pathway, major human metabolic
18 pathway.

19 But the other question is do you really think
20 there's an equilibrium that once it goes from the
21 conjugate to the acid, some of it goes back to the
22 conjugate? I mean, that seems really unlikely to me.
23 Isn't it a one-way thing? Is that really what you adapted
24 from indicated, or did you just pro forma use the
25 bidirectional arrow?

1 DR. YANG: Yeah, I think it's one way, because we
2 adopted this figure. In that case, ATD, ATDS and others
3 suggest one-way is not --

4 PANEL MEMBER BLANC: So shouldn't you change
5 that?

6 DR. YANG: Change one-way. We can change it
7 one-way.

8 PANEL MEMBER BLANC: I mean, that would be
9 clearer, because that just kind of like really confused me
10 when I saw that.

11 DR. BUDROE: Okay. We can clarify the structure
12 there.

13 PANEL MEMBER BLANC: And then, as I said, I would
14 put your 2 cents down if you're making the argument that
15 the reason why humans are generally resistant is because,
16 generally speaking, much less of it becomes the acid or
17 not. You should -- you could say that more clearly
18 somewhere.

19 PANEL MEMBER GLANTZ: You know, one, way just as
20 a reader, to deal with, I think what Paul is suggesting,
21 might not be to make big changes to the figure, because I
22 can see how you'd want to make that so complicated. You
23 could simply add it to the caption to explain what these
24 different things mean.

25 PANEL MEMBER BLANC: Except for arrow. That's

1 simple to change.

2 PANEL MEMBER GLANTZ: Yeah. Well, then the arrow
3 is a different question. But I think in terms of the
4 relative importance and human only.

5 PANEL MEMBER BUCKPITT: While we're on that
6 figure, can I make one more comment?

7 Your sulfate could use a couple of oxygens, I
8 think.

9 DR. BUDROE: Excuse me?

10 PANEL MEMBER BUCKPITT: Your sulfate could use a
11 couple of oxygens.

12 PANEL MEMBER BLANC: Those were deleted there in
13 the second line. They fell out at some point.

14 So anyway, that was, you know, the -- you know,
15 one thought I had.

16 So the -- and then coming back, again not asking
17 you to redo your stance, but this more sort of for my own
18 clarity. You had this old Carpenter study that you were
19 forced to use, because that's -- because you want -- would
20 rather use the one with the low effect level than a single
21 test with a no effect level.

22 But, you know, the no effect level study, it's
23 actually a pretty close exposure level, right, for the
24 acute? One is 98, and one is --

25 DR. YANG: 106.

1 PANEL MEMBER BLANC: -- 86 or something. I mean,
2 it's really pretty close, right? It's not even half the
3 Johnson[sic], or whatever his name is, is that correct?
4 Do I understand that correctly?

5 DR. DODGE: Yeah. In the metabolism studies,
6 they were looking at one concentration. One was 20 parts
7 per million --

8 PANEL MEMBER BLANC: Per million.

9 DR. DODGE: -- the other was around 49 parts per
10 million. And the Carpenter study LOAEL was 98 parts per
11 million.

12 PANEL MEMBER BLANC: Oh, it was that much lower.
13 Okay. I got confused again, because the milligrams per
14 meter -- I thought the Carpenter was all in milligrams per
15 meter. Are you sure about what you're --

16 DR. YANG: It is 98 ppm.

17 DR. DODGE: Ninety-eight parts per million was
18 the Carpenter.

19 DR. YANG: Yeah, yeah. 98 ppm, Carpenter.

20 PANEL MEMBER BLANC: And 20 part per million for
21 the other.

22 DR. DODGE: Yeah, 20 and 49 were NOAELs.

23 PANEL MEMBER BLANC: Okay. And the -- you know,
24 I know that you go through this thing where you say if we
25 use the 20 parts per million as a NOAEL, you would come

1 out with a level that was twice as high as the level that
2 you'd come out with with the Carpenter study. But that's
3 partly explained by the uncertainty factor of 10 for the
4 small study size, isn't it? I mean, if you used an
5 uncertainty factor of 5, you'd get exactly the same
6 number.

7 DR. YANG: That's right. Yeah, that's right.
8 Yeah.

9 PANEL MEMBER BLANC: Right?

10 DR. YANG: Yeah, yeah, yeah.

11 PANEL MEMBER BLANC: So in a way, it's a -- it's
12 somewhat artificial in a sense. I mean, I'm just pointing
13 that out. You know, you're never going to get a fight
14 with me about being public health protective. But I just
15 want to point that out that, you know, even a sentence
16 that said that would -- you sort of -- it underscores that
17 it's not crazy to use Carpenter, if that's -- you know, if
18 you feel like you need to defend it more.

19 So those were some of the areas in which, you
20 know, just reading it as a naive reader, not having been
21 involved in the last discussion, you know, struck me as
22 areas that the argument could be better presented.

23 And then the only other substantive thing, and
24 again I'm not asking you to bring it back to the
25 Committee, but in your -- oh, one really tiny thing.

1 Where you say you had a personal communication from
2 somebody, and it was from 2005 --

3 DR. DODGE: Yes.

4 PANEL MEMBER BLANC: -- I guess that was a
5 personal communication at the time that you had a previous
6 document. Obviously, you haven't been working on this
7 documented for 10 years.

8 DR. DODGE: Well, EGBE was -- we were asked to
9 look at that from the Integrated Waste Management Board,
10 because they had some indoor levels of EGBE that was kind
11 of high. So we did some preliminary work way back when,
12 and developed a unofficial indoor REL value for them.

13 PANEL MEMBER BLANC: So all I would say is I
14 would add 3 words there or something, "At the time of a
15 previous agency review", or something, because it really
16 sort of strikes one as odd, right, just...

17 And the other thing is if you know -- I know you
18 said these other -- the Johanson and the other paper
19 didn't -- were studying metabolism, so they weren't
20 structured to look at sensory complaints. That wasn't an
21 endpoint that they studied.

22 So they don't say anything about sensory
23 irritation. So you take that as a no, right? I mean,
24 that was what you meant basically, right?

25 DR. DODGE: In their written report, yes, that's

1 correct.

2 PANEL MEMBER BLANC: They don't talk about it one
3 way or the other, right?

4 DR. DODGE: No, they don't.

5 PANEL MEMBER BLANC: Do -- and these are papers
6 or reports? Reports? These are papers?

7 DR. DODGE: Published reports.

8 PANEL MEMBER BLANC: Published papers, right?

9 DR. DODGE: Yeah.

10 PANEL MEMBER BLANC: Do they report that any
11 subjects had to terminate the exposure protocol or did
12 everyone who started it, complete it?

13 DR. DODGE: I believe everyone that started it
14 did complete it.

15 PANEL MEMBER BLANC: Because that might be worth
16 saying literally, right? Because if somebody had a whole
17 lot of eye irritation, they've unlikely to continue for 4
18 hours.

19 DR. DODGE: Right. We would definitely have
20 included that, if we had known that happened. And I don't
21 think it happened in those studies.

22 PANEL MEMBER BLANC: Well, I would report the
23 negative. I mean, it supports your argument. I'm just
24 trying to find out ways of, you know -- and they did not
25 report that anyone terminated the study, right?

1 DR. DODGE: Right.

2 PANEL MEMBER BLANC: So the only other thing I
3 want to ask you about is in your review of the literature,
4 did you ever deal with or encounter the issue of immune
5 modulation from this chemical?

6 DR. YANG: No, not at all.

7 PANEL MEMBER BLANC: So it's been an issue with
8 glycol ethers. And there is one relevant study of this
9 chemical as an immune modulator, in terms of blunting the
10 T-cell response. And the same authors did a previous
11 paper.

12 And I think it -- for completeness sake, you may
13 want to say something about it. It's been an issue
14 with this, in terms of the asthma argument. Because if
15 something were an adjuvant or, in some way -- you know, in
16 some cases, it could promote, in other ways suppress
17 immune response.

18 So I'd -- I'm happy to give you the one
19 reference, but it may lead you to a few others, and might
20 cause you to write 3 sentences, and add a couple of
21 references. But I think if somebody reading the document
22 who's concerned about that with this class of chemicals,
23 in general, and the specific chemicals might want to know
24 that you -- it's not that you didn't know anything about
25 it, it's just that you -- it wasn't -- in the end, there

1 wasn't enough there to drive you towards...

2 DR. BUDROE: We can do that.

3 PANEL MEMBER BLANC: That's it.

4 CHAIRPERSON KLEINMAN: Okay. Jesús.

5 PANEL MEMBER ARAUJO: That's why I have to come
6 after you. So I don't have really anymore comments to
7 say.

8 (Laughter.)

9 PANEL MEMBER ARAUJO: That was a very -- I would
10 agree, you know, that it's important to be precise in the
11 changes on the hematocrit. I also think that it's -- but
12 aside from that, I don't really see -- I think that it was
13 a clear document. It was an improvement from the previous
14 version. And I will have to say that I didn't really pick
15 up so many of the findings that Dr. Blanc mentioned, but I
16 think that those are -- seem to be all appropriate.

17 PANEL MEMBER BLANC: You know this use in window
18 cleaners, which is sort of on the fourth page there's one
19 line about it's used big in that. I mean, that's -- you
20 know, that's the elephant in the room with this chemical,
21 right? This is where tons and tons and tons of it are
22 used.

23 So the fact that that appears kind of one place
24 in one line is -- it's your editorial judgment, but --
25 isn't it also used in foams for fire control? One of the

1 studies is of, you know, firefighter's doing a controlled
2 airplane disaster simulation thing?

3 DR. YANG: I cannot recall that. If -- if we,
4 you know, the -- let's --

5 DR. BUDROE: No, it doesn't pop up as --

6 PANEL MEMBER BLANC: Could you double check that
7 though, and may be that might be something you'd throw
8 into that paragraph --

9 DR. BUDROE: Okay.

10 PANEL MEMBER BLANC: -- where you talk about
11 uses, if that's really true. Because I think one of the
12 papers that is you know -- thanks.

13 CHAIRPERSON KLEINMAN: Sarjeet.

14 PANEL MEMBER GILL: Just for completeness sake,
15 on page 15, line 310 to 311, just delete the last phrase
16 after "shift", because that's an opinion, and that's not
17 validative for the opinion. On line 310. Line 310, page
18 15. Just delete the last phrase, "Indicating slight
19 accumulation of relatively slower elimination". There's
20 no basis for the judgment in that. Just delete that
21 phrase.

22 DR. YANG: Okay. Okay.

23 PANEL MEMBER GILL: It doesn't make any
24 difference actually. As one reference, at least I found,
25 which is not listed is Boatman, 2014. Regulatory

1 Toxicology's review by Boatman, you may want to just for
2 completeness sake.

3 So I just have one other question is because one
4 of the concerns I had was exposure levels indoors are very
5 high. But I know the REL is far outside. So the question
6 is on how does REL levels really affect people who are
7 working, and at the same time have high exposures indoors,
8 like window cleaners, for example, in a point source?

9 I know that's not your -- that's beyond your
10 judgment, beyond your authority. But just as a curiosity,
11 how would he affect it?

12 Because exposure levels of individuals are
13 actually relatively high, because of the source in which
14 it is being used.

15 PANEL MEMBER BLANC: Just to clarify, I think
16 what he's asking is, you know, if we thought this was also
17 a contaminant of water, there's been cases where we've
18 taken into account total exposure from different routes
19 and how the air level could contribute to that. I can't
20 think of -- I think we've -- we've dealt with this in the
21 past. So I think the question is, okay, now we're not
22 talking about people get it in their drinking water, plus
23 they're inhaling it, although they could, but we're
24 talking about people get it outdoors in a sort of a low
25 level, and indoors in a sort of high level. I mean,

1 wasn't that part of the issue with the secondhand smoke
2 exposure issues? Stan, do you remember that?

3 PANEL MEMBER GLANTZ: Yeah. I mean, the ARB
4 doesn't have any regulatory authority over indoor air, but
5 you are free to talk about indoor air in the report for
6 purposes of informing the public. I mean, that's happened
7 in lots of these reports. So it might be worth adding,
8 you know, this point, just so it's there.

9 DR. BUDROE: Multiple source exposure?

10 PANEL MEMBER GLANTZ: Huh?

11 DR. BUDROE: Multiple source exposure?

12 PANEL MEMBER GLANTZ: Yeah. And the fact that
13 indoors you can have quite high levels, because of the use
14 of some of these products, just so it's on the record.

15 PANEL MEMBER BLANC: They do cite the relevant
16 papers, so it wouldn't be extra references. It would just
17 be a sort of connecting sentence maybe or...

18 DR. BUDROE: Okay. We do have -- on page 9,
19 table 3, we do have some of the daily average exposures
20 from this. So we do provide some information on it in the
21 document. I mean, I guess it's a question of how far do
22 we want -- are we going to editorialize with it.

23 PANEL MEMBER BLANC: Well, I wouldn't
24 editorialize, I would just recognize that your REL does
25 not take into account multiple sources of exposure, right?

1 It doesn't take into account water --

2 PANEL MEMBER GLANTZ: I don't think -- I wouldn't
3 put that it way, because -- what I would just say -- just
4 put a comment about you can have high levels of indoor
5 exposure, and just, you know, a sentence or 2 to point
6 that out.

7 I think that's a different point than the
8 multiple levels of exposure question, because you know, I
9 mean, there's the sort of scientific -- there's the risk
10 assessment question which is what we deal with, which is,
11 you know, if people get exposed to enough of this stuff,
12 what will -- you know, how much do you need to get worried
13 about? And then there's the risk -- there's the
14 regulatory question of what kind of regulations get put in
15 place based on the information in this document, which is
16 a separate question.

17 And so the ARB can't set a rule for indoor
18 exposure. But, you know in terms of providing a more
19 complete discussion, you know, I think that's a good idea
20 to add that. Again, it's not something that's going to
21 affect the REL or that I think should hold up the report,
22 but it's worth mentioning, especially, you know, just to
23 make the document more useful, because there are people
24 out there who are trying to look at integrated exposures.

25 You know, it may not be in an ARB rule, but these

1 documents are valuable for other -- you know, other
2 organizations and other people. So that would be worth --
3 I mean, again, it's just an editorial change, not a
4 substantive change.

5 DR. BUDROE: Okay. We could add a couple
6 sentences on that.

7 PANEL MEMBER BLANC: So, Dr. Kleinman, are you
8 looking for a motion at this point?

9 CHAIRPERSON KLEINMAN: Yes.

10 PANEL MEMBER BLANC: So I would move that the
11 document be accepted presuming that certain minor textual
12 changes are made, reflective of the discussion we've just
13 had.

14 PANEL MEMBER GLANTZ: If I could suggest an
15 amendment, in that we delegate the authority to approve
16 those changes to the Chair.

17 PANEL MEMBER BLANC: And that we delegate
18 those -- friendly amendment accepted.

19 CHAIRPERSON KLEINMAN: Can I have a second?

20 PANEL MEMBER BUCKPITT: I'd like to second that.

21 CHAIRPERSON KLEINMAN: Good. Thank you.

22 All in favor?

23 (Ayes.)

24 (Hands raised.)

25 CHAIRPERSON KLEINMAN: Showing unanimous

1 approval. All right. The motion passes.

2 We have discharged our duties with this
3 particular document. And I -- you know, as you get the
4 final version, I will be happy to review it, and make the
5 comments back to the group and to the Panel.

6 DR. BUDROE: Okay. Thank you, Dr. Kleinman.

7 PANEL MEMBER BLANC: Bathroom break?

8 CHAIRPERSON KLEINMAN: Yes. I'd like to take a
9 10 minute break and we'll get back at 11:30.

10 (Off record: 11:18 a.m.)

11 (Thereupon a recess was taken.)

12 (On record: 11:31 a.m.)

13 CHAIRPERSON KLEINMAN: Okay. I'd like to
14 reconvene the meeting. The second item on the agenda is a
15 review of the cancer unit risk factor for tertiary-butyl
16 acetate, TBAC.

17 The unit risk factor was developed using risk
18 assessment methodologies under the Hot Spots -- the Air
19 Toxics Hot Spots Program. The document was made available
20 for public review. And the agency did receive a set of
21 comments on the document. The lead commentators on this
22 will be Dr. Araujo and myself. And just as a reminder,
23 when you are making a comment, turn your microphones on.

24 (Thereupon an overhead presentation was
25 presented as follows.)

1 millimeters of mercury at 25 degrees C. That means it's
2 considerably less volatile than, for example, acetone, but
3 which has got a vapor pressure of about 250 millimeters of
4 mercury, but it's in the ballpark with say ethanol, which
5 has got a vapor pressure of about 50.

6 And there are no carcinogenicity studies for
7 TBAC. However, exposure to tertiary-butanol, or TBA,
8 which is a primary metabolite of TBAC, has been shown to
9 cause tumors in rats and mice.

10 --o0o--

11 DR. BUDROE: And TBAC is fairly quickly
12 metabolized to TBA. Groth and Freundt reported that rats
13 inhaling 440 parts per of TBAC for 5 hours had increasing
14 blood concentrations of both TBAC and TBA during exposure.
15 And TBA blood concentrations that were slightly higher
16 than that of TBAC, 300 versus 300 micromoles per liter of
17 blood respectively after exposure

18 Similar exposures at 900 ppm TBAC for four and a
19 quarter hours yielded similar results in blood. And TBAC
20 blood concentrations were halved by 45 minutes
21 post-exposure, but TBA levels were unchanged.

22 --o0o--

23 DR. BUDROE: Now, to discuss TBAC toxicokinetics.
24 Absorption, Cruzan and Kirkpatrick rat data suggested TBA
25 is absorbed rapidly upon inhalation, but saturation

1 absorption may occur at high exposure concentrations.
2 These same studies also suggested inhaled TBAC is rapidly
3 distributed to tissues or exhaled. And the metabolism is
4 through hydroxylation, oxidation, and/or glucuronidation.

5 Two major metabolic pathways have been proposed
6 in rats.

7 --o0o--

8 DR. BUDROE: And this is adapted from Cruzan
9 and Kirkpatrick. This is just the graphic metabolic
10 scheme.

11 --o0o--

12 DR. BUDROE: And the major pathways are
13 hydroxylation of TBAC to 2-hydroxymethyl-isopropyl
14 acetate. U1 on the metabolism graphic. And then
15 oxidation to 2-hydroxyisobutyric acid, U2, which is the
16 major urinary metabolite, or conjugation to
17 2-hydroxymethyl-isopropyl acetate glucuronide, which is U6
18 on the graphic.

19 The other major pathway is cleavage at the ester
20 linkage in TBAC to form the TBA intermediate. And then
21 oxidation to U2, or it's conjugated to -- you have a
22 glucuronide conjugate, which would be U4 in the metabolism
23 graphic. And there's also a minor pathway that involves
24 hydroxylation and then glucuronide conjugation to U8.

25 --o0o--

1 DR. BUDROE: So which would be this pathway. So
2 this is the first major pathway. This is the second major
3 pathway, and then this is the minor pathway.

4 --o0o--

5 DR. BUDROE: Now, TBAC elimination. Most of the
6 inhaled doses eliminate it within the first 24 hours
7 post-exposure. The primary route of excretion is through
8 the urine. Sixty-nine to 89 percent of the inhaled dose
9 is eliminated that way. The secondary excretion routes
10 are feces. Essentially, 1 to 2.7 percent and exhaled air
11 roughly 5 to 27 percent. And exhaled air has a larger
12 role as a route at higher exposure concentrations.

13 A low level of tissue retention, less than 3
14 percent, was reported. And as stated earlier, the
15 half-life elimination in rats is 45 minutes.

16 --o0o--

17 DR. BUDROE: The TBAC cancer risk assessment is
18 based on a 2-year TBA drinking water study in rats and
19 mice, which was done by an NTP, National Toxicology
20 Program, in 1995. And the studies used either Fischer 344
21 rats or B6C3F1 mice, 60 animals per sex per treatment
22 group. And one thing of note there is that for the rat
23 study, 10 rats were sacrificed at 15 months for
24 histopathological examination.

25 The exposure method and duration. Drinking water

1 ingestion for up to 103 weeks of concentrations used for
2 male rats were 0, 1.25, 2.5, or 5 milligrams per ml.

3 For female rats, the concentrations were slightly
4 higher 2.5, 5, or 10 milligrams per ml. And both male and
5 female mice were exposed to 0, 5, 10, or 20 milligrams per
6 ml.

7 --o0o--

8 DR. BUDROE: Now this table shows the increased
9 tumor incidences in the male rats and male and female mice
10 exposed to TBA in drinking water. And there was no
11 significant increase in male rats in renal tubule adenomas
12 and carcinomas when single sections were done.

13 However, there was a -- the 2.5 milligram per ml
14 dose group did show a significant increase in tumor
15 incidence compared to controls. And the difference
16 between step sections and single sections, single sections
17 are what they sound like. There's a single section taken
18 from each animal in the kidney. Step sections, 5 to 6
19 sections are taken per kidney, and that includes the --
20 that includes the control group.

21 So you can actually see, for example, the tumor
22 numbers per animal go up in the step section group, and
23 including in controls where they went from 1 to 8.

24 And basically, NTP considers step section
25 evaluation to be a more complete evaluation. However,

1 DR. BUDROE: TBA cancer slope factors, or CSFs,
2 were calculated from the poly-3 corrected data using the
3 multi-stage cancer model function of the U.S. EPA
4 benchmark dose software. We used version 2.6, the most
5 recent version. A CSF animal of 4.3 times 10 to the minus
6 3 per milligram/kilogram day was calculated using BMDS
7 from the corrected male rat kidney tumor data set with the
8 high dose, 420 milligrams per kilogram day, and that's an
9 exposed dose actually.

10 --o0o--

11 DR. BUDROE: Go back there. That's the
12 administered dose. NTP actually calculated an exposed
13 dose for all the dose groups.

14 PANEL MEMBER GLANTZ: What's the difference
15 between an administered and exposed dose?

16 DR. BUDROE: An administered dose is the actual
17 concentration of TBA in the drinking water. For an
18 exposed dose, NTP calculated it from -- actually monitored
19 their water consumption and body weight, and calculated an
20 actual exposed dose from -- in milligrams per kilogram
21 day.

22 So the high dose tumor incidence data was dropped
23 from the model to allow model convergence, and a first
24 degree polynomial was used to model the data for
25 goodness-of-fit purposes.

1 We also calculated the CSF animal with 7 times 10
2 to the minus 5 per milligram/kilogram day from the
3 corrected female mouse thyroid tumor data set using a
4 third degree polynomial multi-stage cancer model.

5 --o0o--

6 DR. BUDROE: And this slide shows the BMDS output
7 data where the BMDL, which is the lower 95 percent
8 confidence level on BMD of 11 -- essentially roughly 12
9 milligrams per kilogram day the female mouse thyroid tumor
10 BMDL was considerably higher, roughly 650. So you're
11 looking at roughly the rat CSF being about 60-fold greater
12 than the mouse CSF.

13 --o0o--

14 DR. BUDROE: And that's a -- the graphic of the
15 plot of the data.

16 --o0o--

17 DR. BUDROE: So the male rat kidney tumor data
18 yielded the lowest CSF animal value. And this animal
19 cancer potency estimate was converted to a human potency
20 equivalent. This was done using body weight to
21 three-quarter power scaling.

22 --o0o--

23 DR. BUDROE: So a CSF human was derived from the
24 CSF animal data -- from the 4.3 times 10 to the minus 3.
25 After body weight scaling, the value is 1.5 times 10 to

1 the minus 2 milligram per kilogram day.

2 And we then derived a TBAC CSF oral from the TBA
3 CSF human oral value above, assuming 2 factors, a TBAC to
4 TBA metabolic conversion factor of 0.71 and a molar
5 conversion factor of 0.64 which is the ratio of a TBA
6 molecular weight to the TBAC molecular weight.

7 --o0o--

8 DR. BUDROE: So the TBAC CSF oral is equal to the
9 TBA CSF human oral times the molecular conversion factor
10 times the molar conversion factor. And the oral factor
11 for TBAC is 7 times 10 to the minus 3 per
12 milligram/kilogram day.

13 We then derived a TBAC inhalation slope factor
14 from the oral slope factor using the following
15 relationship, where fractional absorption was 95 percent.
16 And that resulted in an inhalation slope factor of 6.7
17 times 10 to the minus 3 per milligram/kilogram day.

18 --o0o--

19 DR. BUDROE: So having derived a inhalation slope
20 factor, we then use that to derive a TBAC unit risk factor
21 from the inhalation slope factor for TBAC. And the
22 inhalation -- the unit risk factor is the risk per --
23 extra risk of cancer per exposure to 1 microgram per cubic
24 meter of a given chemical.

25 And we used the human breathing, default

1 breathing rate of 20 meters per day -- 20 cubic meters per
2 day. Average human body weight is 70 kilograms, and the
3 milligram kilogram -- milligram to microgram conversion
4 factor of 1000. And the result is a unit risk factor of
5 is 1.9 times 10 to the minus 6 per microgram per cubic
6 meter.

7 --o0o--

8 DR. BUDROE: So to summarize the proposed TBAC
9 risk factors: The oral slope factors is 7 times 10 to the
10 minus 3 per milligram/kilogram day. The inhalation slope
11 factor is 6.7 times 10 to the minus 3. And the unit risk
12 is 1.9 times 10 to the minus 6 per microgram per cubic
13 meter.

14 And the previous TBAC risk factors that were
15 informally developed for Air Resources Board were a
16 inhalation slope factor of 2 times 10 to the minus 3 per
17 milligram/kilogram day, and a unit risk of 4 times 10 to
18 the minus 7 per milligram per cubic meter.

19 --o0o--

20 DR. BUDROE: And I want to go back. One thing
21 that if you were looking at the slide presentation might
22 have missed is somewhere there a 0.25 power next to the --
23 that parentheses got dropped from the presentation. I
24 think it got squeezed off the slide, but that would be 70
25 kilograms per 0.431 kilograms to the 0.25 power.

1 So that concludes the presentation on the
2 document. Dr. Kleinman, if you'd like questions now or
3 held until the response to comments presentation.

4 CHAIRPERSON KLEINMAN: I think it would be better
5 to go through the responses to the other -- yeah, the
6 outside comments, and that way we have the whole package,
7 and then we can comment on those, unless somebody has a
8 burning question?

9 PANEL MEMBER GILL: I just have one clarification
10 for slide 5, the metabolism figure. I just want to say --
11 just to make sure that I got it correctly, you say the
12 major pathway is on the left-hand side?

13 DR. BUDROE: Yes. That and that would be the
14 major pathways, and that's the minor pathway.

15 PANEL MEMBER GILL: But based on metabolism
16 study, then the major pathway should be the butanol
17 pathway. The other one is -- should still be very minor,
18 correct, if you look at the blood data?

19 DR. BUDROE: Correct.

20 PANEL MEMBER GILL: Okay.

21 DR. BUDROE: Yeah. It's a question of you decide
22 which one is major and which one is minor. Like that one
23 is, you know, very low rates of metabolism. These 2 are
24 greater, but you're correct.

25 PANEL MEMBER GILL: The reason my question is

1 because if you're going to base your data subsequently on
2 TBA, it cannot be based on the left-hand pathway. It has
3 to be based on the major pathway of butanol.

4 DR. BUDROE: Correct. And that is what you were
5 seeing with the -- with the Groth and Freundt data.

6 PANEL MEMBER ARAUJO: May I ask something, since
7 we are already talking about the -- so the metabolic
8 pathways. If you put the diagram again. I guess at --
9 the question would be is the hydroxylation and the
10 formation of the 2-hydroxyisobutyric acid corresponds to
11 the pathway that is on the left or the pathway that is on
12 the middle, and all it comes equally from both. And that
13 can help to asserting whether one pathway is more than the
14 other. Because at the end, if a lot of it really comes
15 from the left side, so the left side would still be a
16 major pathway.

17 If it is coming more like from the butanol, as
18 you're saying, so it could be the one on the middle. And
19 I'm saying this just based on the fact that about 40
20 percent of the -- of the -- or this metabolite consists of
21 like 40 percent of all the metabolites and -- that
22 generate from the TBAC. Do we know about that?

23 So whether that -- and you call this like a U2,
24 right? Yeah, the U2, the 2-hydroxyisobutyric acid. So
25 that is like -- you mentioned that it's roughly like about

1 40 percent, depending on whether it is a low dose or high
2 dose and exposures to the TBA.

3 And when you're exposing to the TBAC, is that
4 really coming primarily from the butanol or is it coming
5 from the 2 hydroxymethyl-isopropyl acetate?

6 DR. BUDROE: It's not completely easy to tell
7 from the Cruzan data. Can I get of one my colleagues up
8 here to -- okay. Yeah, Dr. Kathleen Vork is one of the
9 co-authors on the document.

10 So in the Cruzan and Kirkpatrick metabolism data
11 that the percentage of the pathway that's being
12 hydroxylated to the U1 then U6 increases with -- that
13 pathway decreases with dose. Hydrolysis of the TBA
14 results in U4 increases with dose. And metabolism to U2,
15 which would involve either pathway essentially is constant
16 with dose.

17 PANEL MEMBER BUCKPITT: Do you know anything
18 about the metabolism of butanol, and would that tell you
19 what the contribution butanol versus the other pathway is?

20 DR. BUDROE: There probably is. We didn't
21 evaluate it for this pathway, but that might be
22 worth look.

23 PANEL MEMBER BUCKPITT: But it would be worth it,
24 if you want to answer that question.

25 DR. BUDROE: Right.

1 Any other questions before we move on to the
2 response to comments?

3 CHAIRPERSON KLEINMAN: Why don't we move on and
4 then we can return.

5 DR. BUDROE: Okay. OEHHA received comments on
6 TBAC from the Lyondell Chemical Company and from Dr. James
7 Felton on behalf of Lyondell Chemical Company.

8 --o0o--

9 DR. BUDROE: The public comment topics in general
10 were addressed. Male rat kidney tumors, the mode of
11 action. And topics and subtopics in this were
12 non-genotoxicity, male rat specificity of renal effects,
13 sustained and elevated self-proliferation, does-response
14 similarities between the mode of action and tumors, and
15 then TBA-induced female mouse thyroid tumors, and the
16 inhalation unit risk derivation.

17 --o0o--

18 DR. BUDROE: In comments on the mode of action,
19 Lyondell felt that -- Lyondell felt that the use of
20 TBA-induced male rat kidney tumors as a primary basis for
21 the derivation of the TBAC unit risk fact, or URF, was not
22 justified given that this tumor response is likely
23 mediated through the non-human relevant alpha-2u globulin
24 MoA.

25 They further stated that the weight of evidence

1 examination of the data supports the conclusion that TBA
2 is causing tumors by the alpha-2u globulin MoA, and that
3 all 7 criteria for this MoA, as outlined by IARC, have
4 been fulfilled. And this was included in their table 1.

5 --o0o--

6 DR. BUDROE: And to discuss this table, this --
7 essentially, IARC back in 1999 put together a criteria of
8 for determining if a chemical that caused male rat kidney
9 tumors was doing it slowly through an alpha-2u globulin
10 mode of action, and therefore should not be considered to
11 be relevant to human cancer risk assessment.

12 Lyondell laid this out as essential criteria and
13 additional supporting evidence. And this is what is, as
14 described in a paper by Swenberg and Lehman-McKeeman, who
15 were part of that -- the working group. However, the
16 consensus report listed all 7 criteria as all being
17 essential. They did not delineate between essential
18 criteria and additional supporting evidence.

19 And OEHHA feels that the use of the consensus
20 report criteria list, as laid out by IARC, is more
21 appropriate for use in determining if chemicals do, in
22 fact, induce male rat kidney tumors as a result of an
23 alpha-2u globulin MoA.

24 --o0o--

25 DR. BUDROE: Now, our general response to the MoA

1 comments are that the weight of evidence supports our
2 position that male rat kidney tumors observed in the NTP
3 TBA drinking water study are relevant to human cancer risk
4 assessments, and we'll give our responses to specific
5 comments in the following slides.

6 And as I stated earlier that the use of complete
7 IARC criteria for male rat kidney tumors an alpha-2u
8 globulin MoA is listed in the consensus report and
9 described in the document is appropriate.

10 --o0o--

11 DR. BUDROE: Now, Lyondell comments on
12 genotoxicity of TBAC. They stated that TBAC and TBA were
13 negative in high quality studies examining bacterial
14 reverse mutations, in vitro human lymphocyte and mammalian
15 cell Chinese hamster ovary cell chromosomal aberrations
16 for TBAC and TBA respectively.

17 Mammalian cell mutations in the mouse lymphoma
18 assay for TBA, and in vivo rat bone marrow and mouse
19 micronucleus assays for TBAC and TBA respectively.

20 --o0o--

21 DR. BUDROE: And that weight of evidence
22 evaluation of the genetox data in the document should
23 result in the clear conclusion that both TBAC, and its
24 metabolic surrogate, TBA are nongenotoxic.

25 --o0o--

1 DR. BUDROE: An our response to this is that the
2 genotox data for TBAC is generally negative, but it's
3 limited. And TBA has not been shown to cause chromosomal
4 damage, but has produced mixed results for bacterial gene
5 mutation. TBA has also been observed to cause DNA damage
6 in a variety of assays that causes -- been shown to cause
7 primary DNA damage, adduct formation, and oxidative DNA
8 damage.

9 --o0o--

10 DR. BUDROE: And these assays were performed in
11 vitro and in vivo using several different assay endpoints
12 and they were uniformly positive. It's also notable,
13 given the positive DNA damage data for TBA, that the
14 positive bacterial gene mutation assay data was generated
15 in a salmonella strain, which is sensitive to oxidative
16 DNA damage.

17 --o0o--

18 DR. BUDROE: Negative results from different
19 types of chromosomal assays may increase the weight of
20 evidence regarding chromosomal damage, but does not
21 necessarily pre-dominate in an overall assessment of
22 genotoxicity.

23 Positive data should not be dismissed lightly,
24 and it's -- you know, there is no such thing as a perfect
25 positive study. There are always things that can be

1 nitpicked in it. But, in general, the default for
2 genotoxicity assay is it comes out negative.

3 So overall, we believe that the genetox data do
4 not prove that TBA is nongenotoxic, and therefore TBA does
5 not fit IARC Criterion 1.

6 --o0o--

7 DR. BUDROE: Additional Lyondell comments on
8 genotoxicity is that methyl tert-butyl ether, or MTBE, and
9 ethyl tert-butyl ether, or ETBE, are extensively
10 metabolized to TBA, and therefore represent metabolic
11 surrogates of TBAC through their common metabolism, the
12 TBA, and that these negative genetox profiles of MTBE and
13 ETBE should be included in the overall weight of evidence
14 evaluation.

15 --o0o--

16 DR. BUDROE: Our response to these comments is
17 that a comprehensive evaluation of MTBE and ETBE is beyond
18 the scope of this document. However, the document does
19 discuss TBA genetox studies that also present positive
20 genetox data for MTBE. And those studies include reports
21 of DNA damage, bacterial gene mutation, and DNA adduct
22 formation.

23 --o0o--

24 DR. BUDROE: Lyondell further commented that
25 there are problems with the studies by Tang, Sgambato,

1 Williams-Hill, and Yuan. With respect to the Tang study
2 that it assessed DNA breakage in the Comet assay using a
3 non-standard subjective and qualitative method, reporting
4 only the appearance or lack of appearance of a Comet tail
5 in an HL-60 cell line not known to be metabolically
6 competent.

7 --o0o--

8 DR. BUDROE: And our response to this is that
9 Tang visually quantified the percentage of DNA present in
10 the tail. Although this potentially adds some
11 variability, because you can be using different data
12 squares due to the subjectivity of visual scoring. It's
13 still an acceptable laboratory method.

14 --o0o--

15 DR. BUDROE: Lyondell's comments on the Sgambato
16 study were there it only used a single IC50 concentration,
17 which is a concentration that will produce a 50 percent
18 inhibition of growth and only one indication of
19 cytotoxicity the MTT test in their Comet assay.

20 And than an IC30 is recommended -- is generally
21 recommended to avoid cytotoxicity confounding as is the
22 use of multiple cytotoxicity tests.

23 --o0o--

24 DR. BUDROE: And our response is is that Sgambato
25 did not observe a significant difference in the amount of

1 dead cells in treated verse control cultures. And we
2 acknowledge that cytotoxicity is a potential confounder in
3 the interpretation of the Comet assay results contained in
4 the Sgambato study and we added that information to the
5 document.

6 --o0o--

7 DR. BUDROE: Lyondell's comments on the Yuan
8 study were that it used an accelerated -- accelerator mass
9 spectroscopy method that is prone to false positive
10 results of DNA adduct formation. It did not use synthetic
11 standards of adducted DNA bases to avoid misinterpretation
12 of the results.

13 --o0o--

14 DR. BUDROE: Our response is that regarding the
15 Yuan study, OEHHA has not found a scientific consensus
16 that accelerator mass spectrometry is prone to false
17 positive results. However, use of synthetic standards to
18 confirm the identity of the DNA adducts would have been
19 helpful, and we've added the discussion of this
20 information to the document.

21 --o0o--

22 DR. BUDROE: Lyondell's comments on
23 Williams-Hill. The Williams-Hill study is that -- were
24 that Williams-Hill observed the mutagenic response of the
25 non-GLP, good laboratory practices, study using salmonella

1 strain TA102, which they claim has a high and variable
2 background rate of revertants.

3 And TBA only induced a very weak response, barely
4 meeting a requirement for a positive response a 2-fold
5 increase mutation incidence.

6 --o0o--

7 DR. BUDROE: Additionally, those findings were
8 not replicated in 2 independent and GLP-compliant assays
9 using TA102, which confirmed that the DMSO vehicle, which
10 is an oxidative stress inhibitor, did not influence their
11 negative findings in the TA102 strain, which is sensitive
12 to oxidative stress.

13 --o0o--

14 DR. BUDROE: Our response to these comments is
15 that GLP standards are designed to be applied to data
16 submitted to the U.S. Food and Drug Administration, FDA,
17 for regulatory approval. And these are primarily
18 record-keeping requirements, that a study be able to track
19 the results in the study all the way through. But
20 it -- what it essentially does is mandate record keeping.

21 Research data submitted to peer-reviewed
22 scientific journals, such as the Williams-Hill study, are
23 not required to meet those GLP standards, and GLP studies
24 should not be construed as being more scientifically valid
25 than non-GLP studies.

1 --o0o--

2 DR. BUDROE: Additionally, the control revertant
3 rate reported by Williams-Hill is consistent with the
4 laboratory, quality control standards they described. And
5 their mutation data show a dose response relationship with
6 the mid-dose exposure group showing a mutation response of
7 about 2-fold greater than control.

8 Therefore, the positive -- the positive TBA
9 bacterial gene mutation data reported is valid and should
10 be considered in any discussion of TBA genotoxicity.

11 --o0o--

12 DR. BUDROE: Switching to next topic, male rat
13 specificity. Lyondell commented that TBA is at most a
14 very weak kidney tumorigen. A positive finding of
15 tumorigenicity was not identified in the NTP study using
16 standard kidney histopath sectioning. Statistical
17 significance of the response was only achieved in the
18 mid-dose when subsequent step sectioning of the kidney was
19 conducted. And NTP declared the TBA kidney finding only
20 as some evidence of carcinogenicity in male rats.

21 --o0o--

22 DR. BUDROE: Our response to those comments is
23 that standard pathology sectioning and step sectioning
24 were described as analogous to a partial evaluation and a
25 definitive evaluation respectively.

1 So the step section procedure is essentially a
2 more sensitive procedure for detecting tumors in the
3 tissue under examination.

4 --o0o--

5 DR. BUDROE: Additionally, the explanation of
6 levels of evidence of carcinogenic activity section of the
7 NTP report states that the 2 categories of positive
8 results of carcinogenic activity are clear evidence and
9 some evidence. NTP therefore, clearly considers the TBA
10 male rat kidney tumor results to be positive results.

11 --o0o--

12 DR. BUDROE: Additional comments by Lyondell and
13 male rate specificity. In the TBAC document, the lack of
14 fulfillment of IARC Criterion 2 is largely based on kidney
15 changes described in the female rat, namely exacerbation
16 of chronic progressive nephropathy, or CPN, increases in
17 renal inflammation, and renal pelvis transitional cell
18 hyperplasia.

19 And in the 2-year study, females were affected
20 with a dose-related exacerbation of CPN not an alpha-2u
21 globulin MoA.

22 --o0o--

23 DR. BUDROE: Although an adverse effect, CPN is
24 not a nephrotoxic effect. It's an enhancement of the
25 development of the spontaneous disease process that is

1 common in the F344 rat but not relevant to humans.

2 --o0o--

3 DR. BUDROE: Our response to these comments is
4 that the document has been revised to include an expanded
5 description of the NTP findings regarding CPN, suppurative
6 inflammation, and transitional epithelial hyperplasia, or
7 TEH, that was observed in TBA-exposed rats. It also
8 included a discussion of the differing male and female rat
9 dose responses for CPN, suppurative inflammation, and TEH.
10 And that these data indicate it's unlikely that either
11 suppurative inflammation or TEH are directly linked to
12 CPN.

13 --o0o--

14 DR. BUDROE: The document has also been
15 specifically revised to indicate that the exacerbation of
16 CPN in female rats indicates an adverse renal effect, and
17 that the induction of suppurative inflammation and TEH are
18 definitively nephrotoxic effects. And the data listed in
19 the revised document and described above in this slide
20 indicate that TBA does not completely fit IARC Criterion
21 number 2.

22 --o0o--

23 DR. BUDROE: Now, Lyondell's comments on
24 sustained and increased cell proliferation, which is
25 Criterion 6. The TBAC document used 3 studies to assess

1 whether Criterion 6 was supported, Borghoff, Takahashi and
2 Faber. Borghoff reported a dose-independent increase in
3 renal tubule cell proliferation, or CP, at 10 days
4 post-TBA exposure.

5 Takahashi applied proliferating cell nuclear
6 antigen, or PCNA, staining to recuts of kidney tissue from
7 the NTP 13-week TBA drinking water study. And this is NTP
8 did a 13-week study prior to doing the fall 2-year study,
9 to essentially evaluate toxicity before going into a
10 lifetime study --

11 --o0o--

12 DR. BUDROE: -- and reported an increase in the
13 median cell proliferation only in the mid-dose exposure
14 group of 20 milligrams per ml, a dose much higher than the
15 high dose, 5 milligrams per ml, used in the NTP cancer
16 study. Faber reported a negative cell proliferation in
17 the 13-week study of TBAC. And Lyondell felt this
18 comparison was not strictly appropriate, as the tumor
19 finding applied in the document was to TBA and not TBAC.

20 --o0o--

21 DR. BUDROE: And finally, Lyondell felt that the
22 document did not refer to the work of Lindamood who
23 demonstrated via PCNA in the 13 -- the NTP 13-week
24 drinking water study a statistically significant increase
25 in renal tubule S-phase nuclei indicating cell

1 proliferation at doses of 1 percent and 2 percent of TBA
2 in male rats matching the occurrence of the hyaline
3 droplet response in their study.

4 And one thing that should be noted is that 1 and
5 2 percent are the equivalent of 10 and 20 milligrams per
6 milliliter drinking water concentration. Tumors in the
7 lifetime study were observed in the 2.5 milligrams per ml
8 dose.

9 --o0o--

10 DR. BUDROE: To continue Lyondell's comment. At
11 the 4 -- high dose of 4 percent in male rats. There were
12 no hyaline droplets or any cell proliferation responses
13 noted by Lindamood. None the -- nevertheless, the male
14 rat data of Lindamood and Takahashi at 13 weeks are
15 consistent and coupled with the results of Borghoff at 10
16 days provides some evidence that IARC Criterion 6 is
17 fulfilled.

18 --o0o--

19 DR. BUDROE: Our response to those comments is
20 that we revised the document to include a description of
21 the Lindamood male rat renal tubule epithelial cell
22 proliferation data from the 90-day -- NTP 90-day TBA
23 drinking water study.

24 However, the Takahashi study appears to report
25 virtually the same data as that in Lindamood, with the

1 primary difference that the graph -- the data are
2 presented in graphic rather than numeric for -- format.

3 --o0o--

4 DR. BUDROE: The NTP report did not report the
5 PCNA proliferation data, included in Lindamood and
6 Takahashi. And, you know, they didn't specify why HO is
7 not included, but they didn't. And these data, based upon
8 the 90-day, but not the 2-year NTP study, are insufficient
9 to change the conclusion in the TSD that the TBA does not
10 completely fit IARC Criterion 6.

11 --o0o--

12 DR. BUDROE: Lyondell comments on the dose
13 response similarities between the MoA and the proposed MoA
14 in tumors. The TBAC document, TSD uses
15 immunohistochemical staining of rat kidney for alpha-2u
16 globulin as evidence of an absence of dose response. And
17 they believe that this technique should only be used for
18 qualitatively localizing accumulating hyaline droplets
19 that stain positively for the alpha-2u-globulin protein,
20 and should not be relied upon to support regulatory
21 decision making.

22 They also state that ELISA is a more sensitive
23 and quantitative measure of changes in the kidney's
24 accumulation of this protein.

25 --o0o--

1 DR. BUDROE: Our response is that OEHHA agree
2 that an enzyme-linked immunosorbent assay, or ELISA, for
3 renal alpha-2u globulin is more sensitive and easier to
4 quantify than renal alpha-2u globulin immunohistochemical
5 staining. However, we're not aware of any published
6 evidence that indicating that staining is unreliable, and
7 therefore it would not be reasonable to throw out data
8 that was generated using that staining technique.

9 --o0o--

10 DR. BUDROE: Lyondell also comments that other
11 evidence of the dose response correlation is seen in the
12 presence of precursors of granular casts, mature granular
13 casts, and linear papillary mineralization observed in
14 male rats at doses of 2.5 and 5 milligrams per ml
15 correlating with renal tubule induction -- excuse me renal
16 tumor induction.

17 --o0o--

18 DR. BUDROE: They also state that CPN compromised
19 survival of the rats in the 5 milligram per ml groups, and
20 that allowances should be made in the document to account
21 for confounding factors of CPN exacerbation and survival.

22 --o0o--

23 DR. BUDROE: Our response to this is that NTP
24 reported that incidence of linear mineralization, which is
25 associated with alpha-2u-globulin induction did increase

1 with dose in the NTP 2-year study, but the corresponding
2 severity scores did not exhibit a dose response. And we
3 have added this information to the discussion of Criterion
4 7 in the document.

5 --o0o--

6 DR. BUDROE: NTP also observed that there was no
7 morphological evidence of extensive cell
8 necrosis evidenced by granular cast formation resulting
9 from TBA exposure. And this information has also been
10 added to the document.

11 However, NTP did not state that nephropathy was a
12 cause of mortality in male and female rats, or that
13 survival affected tumor response, or that either
14 nephropathy or mortality were confounding factors
15 regarding renal tumor response in male rats.

16 --o0o--

17 DR. BUDROE: Further, Lyondell comments on dose
18 response Similarities. They felt that the TBAC document
19 has given no consideration to a more likely alternative
20 MoA. A feature of the TBA studies was exacerbation of
21 spontaneous CPN, which was probably the cause of lower
22 survival in the high dose male rats, and that advanced
23 end-stage CPN has been shown to be responsible for a low
24 incidence of renal tumor -- renal tubule tumors in control
25 rats, and is therefore a risk factor for renal cancer

1 development, especially in male rats.

2 --o0o--

3 DR. BUDROE: Our response to this is that F344
4 rats have a relatively high incidence of CPN, but the male
5 rat renal tubule tumor incidence in the NTP historical
6 control database is low. It's less than 1 percent. And
7 there are several chemicals that exacerbate CPN without
8 increasing male rat renal tumor incidence.

9 --o0o--

10 DR. BUDROE: Also, I'd like to note that Melnick
11 evaluated 58 NTP carcinogenicity studies using male F344
12 rats and 11 studies using female F344 rats for
13 relationships between exacerbated CPN and induction of rat
14 renal tumors.

15 --o0o--

16 DR. BUDROE: Melnick found widespread
17 inconsistencies in the hypothesis -- hypothesized
18 relationship between CPN and rat renal tumors.

19 --o0o--

20 DR. BUDROE: Melnick also stated that CPN is not
21 an established MoA or mechanism of renal carcinogenicity
22 and that neither the etiology of this kidney disease in
23 aging control rats nor the mechanism of chemically
24 exacerbated CPN in rats is known.

25 --o0o--

1 DR. BUDROE: And there is no basis for -- they --
2 Melnick also stated that there is no basis for
3 establishing an MoA for enhancement of CPN in rats or for
4 defining critical biological processes that could occur in
5 rats and presumably could not likewise occur in humans.

6 This indicates to us that it's unlikely that
7 nephropathy is the cause of the male rate kidney tumors in
8 the NTP study. And one piece that I'd also like to add
9 with regard to the alpha-2u globulin MoA that's not on the
10 slide, is that the Doi in 2007 published an evaluation of
11 alpha-2u globulin responses in male rats and corresponding
12 tumors. And they found that -- found no or at best weak
13 associations of tumor responses with renal alpha-2u
14 globulin concentrations, indices of cell turnover, or
15 Microscopic evidence of alpha-2u associated nephropathy.

16 --o0o--

17 DR. BUDROE: The next topic -- comment topic area
18 was TBA induced mouse thyroid tumors. And Lyondell
19 commented that use of TBA-induced male thyroid tumors
20 would not be justified based on MoA information suggesting
21 a quantitative and/or qualitative lack of human relevance.

22 --o0o--

23 DR. BUDROE: They felt the data suggested the
24 high dose specific thyroid tumorigenicity of TBA results
25 from a non-mutagenic MoA associated with intense --

1 enhanced catabolism of thyroid hormone mediated by TBA.
2 And this MoA is common to multiple rodent thyroid
3 carcinogens such as phenobarbital, or PB.

4 --o0o--

5 DR. BUDROE: Our response to this comment is that
6 the TBA-induced female mouse thyroid tumors observed in
7 the NTP study are relevant to human cancer risk
8 assessment, and that data indicated it's unlikely that
9 those tumors are results of compensatory thyroid
10 hyperplasia secondary to thyroid hormone insufficiency.

11 --o0o--

12 DR. BUDROE: The corresponding section to the
13 document notes that TBA causes little or no increases in
14 absolute or relative liver weights; does not induce
15 cytochrome P450 activity to the same degree as seen with
16 phenobarbital; does not cause large decreases in T3 or T4
17 levels;

18 --o0o--

19 DR. BUDROE: Does not increase thyroid
20 stimulating hormone, TSH levels; and, does not cause acute
21 abnormal mouse thyroid histopathological changes.

22 --o0o--

23 DR. BUDROE: Additionally, TBAC has not been
24 shown to cause significant changes in the thyroid gland
25 histopathology or thyroid and parathyroid gland weights in

1 mice; does not induce decreases in T4 levels at relatively
2 high dose exposures in female mice or increases in TSH
3 levels or decreases in T3 levels in male or female mice.

4 So essentially what we're saying is the data that
5 you need to see to say that the TBA or TBAC are causing
6 liver metabolism of thyroid hormone, and as a result you
7 get increases in THS levels, thyroid hyperplasia, and this
8 results in tumors is the data does not support that
9 hypothesis.

10 --o0o--

11 DR. BUDROE: Additional comments on the mouse
12 thyroid tumors by Lyondell. They felt that TBAC toxicity
13 limits the achieving of TBA tumorigenic doses in mice.
14 And they cited Bus 2015, that in their review of the data
15 found inhalation of 3000 ppm of TBAC for 6 hours caused
16 mice essentially to be exposed at that level be prostrate.

17 And TBA-induced tumors in only female mice given
18 roughly 2000 milligrams per kilogram day in drinking
19 water, which they felt was equivalent to 3300 ppm TBAC by
20 inhalation, assuming 100 percent TBAC to TBA metabolism.

21 So real -- as they put it, realistically assuming
22 50 percent metabolism, 2110 milligram per kilogram day
23 equates to almost 7000 ppm TBAC, which would exceed the
24 maximum tolerated dose in mice.

25 --o0o--

1 DR. BUDROE: Our response to this that according
2 to the model by Bus to producer the TBA dose that caused
3 thyroid tumors in female mice, TBAC exposures would have
4 to exceed 3000 ppm, the level that produced adverse CNS
5 effects in the Cruzan and Kirkpatrick acute study.

6 --o0o--

7 DR. BUDROE: In the NTP study, female mice were
8 not exposed to TBA levels greater than the MTD. There's
9 no reason to discount female mouse thyroid tumor data on
10 the basis of mortality. And use of the BMDS model assumes
11 that there's cancer risk at all carcinogen doses greater
12 than 0.

13 So even if the model proposed by Bus was correct,
14 TBA -- TBAC exposure is still expected to pose a cancer
15 risk at concentrations below 3000 ppm.

16 --o0o--

17 DR. BUDROE: The Bus model overestimates TBAC air
18 concentrations required to produce an oral TBAC dose of
19 2115 milligrams per kilogram day. In their algorithm,
20 body weight minute volume are sensitive parameters, and
21 work by Salazar acknowledges changes in the metabolism
22 with repeated exposure.

23 --00o--

24 DR. BUDROE: We recalculated the TBAC inhalation
25 concentration at the high oral dose for the female mice in

1 the NTP study to be 2393 ppm using the average body
2 weights for male and female mice in the NTP study, and
3 minute volumes calculated from U.S. EPA guidance that
4 considers specific mouse body weights. Bus used a default
5 reference mouse minute volume.

6 And the 2393 ppm that we calculated is less than
7 the 3000 ppm observed to cause acute CNS effects, and is
8 roughly 4-fold greater than the TBA BMDL05, which is the
9 point of departure of 647 milligrams per kilogram day.

10 --o0o--

11 DR. BUDROE: Additional comments on -- by
12 Lyondell on the risk assessment were that an alternative
13 approach to TBAC chronic risk assessment has been
14 proposed, based on noncancer neurotoxicity findings by
15 Bus. This alternative approach yielded acute and chronic
16 TBAC reference concentrations of 1.5 and 0.3 ppm
17 respectively.

18 --o0o--

19 DR. BUDROE: Our response to this is that the
20 TBAC cancer unit risk factor decried -- described in the
21 document is both adequate and justified by the available
22 data, and that the derivation of the TBAC noncancer
23 reference exposure level is outside the scope of this
24 document.

25 --o0o--

1 DR. BUDROE: Further, Lyondell comments. There
2 are several issues with the TBAC inhalation unit risk
3 estimates. These include unspecified rationales for the
4 use of a 5 percent BMR response versus a 10 percent
5 standard, and the elimination of the top dose in
6 derivation of the BMR, which resulted in the 2 point dose
7 response.

8 --o0o--

9 DR. BUDROE: Our response to those comments is
10 that the 2009 technical support -- cancer technical
11 support document the statement made in there that -- that
12 document states that a benchmark tumor incidence rate of
13 10 percent is often used. However, it's not -- that's a
14 general recognition of what really U.S. EPA was doing at
15 the time, and we intended to use the 5 percent BMR more
16 recently.

17 --o0o--

18 DR. BUDROE: Additionally, the animal cancer
19 slope factor was calculated from the NTP male rat kidney
20 data with the high dose eliminated due to lack of model
21 convergence. And an explanation of this was added to the
22 document. And the U.S. EPA benchmark dose technical
23 guidance considers this approach appropriate when none of
24 the available models provide an adequate fit. So U.S. EPA
25 suggests this in circumstances as we saw with the male rat

1 kidney tumor data.

2 --o0o--

3 DR. BUDROE: Lyondell also commented there are
4 several issues with the TBAC inhalation unit risk
5 estimates. These include unexplained assumptions of the
6 95 percent TBAC absorption and 71 percent TBAC to TBA
7 metabolism.

8 And the 95 percent estimate wrongly assumes that
9 the total amount of TBAC radioactivity equivalents in rats
10 after a 6-hour exposure, 50.7 per milligram per kilogram
11 was equal to the total amount of TBAC inhaled over the
12 course of that entire exposure period.

13 --o0o--

14 DR. BUDROE: Assuming an EPA default minute
15 volume in rats, and a body weight -- rat body weight of
16 0.21 kilograms, they essentially run through a series of
17 calculations and suggest that the absorbed dose may
18 actually be about 35 percent.

19 --o0o--

20 DR. BUDROE: And our response to that is the
21 calculations and the comment use the U.S. EPA default rat
22 body weight to generate an estimated rat respiration rate,
23 and overestimate the minute volume and inhaled milligram
24 of TBAC by a factor of about 10 percent.

25 The body weight -- rat body weight is available

1 from Cruzan and Kirkpatrick metabolism study to calculate
2 an estimated respiration rate for those rats. And using
3 the low-end body weight in that study of 210 grams, we
4 calculated the 6-hour TBAC dose of 50.7 mill milligrams
5 per kilogram. This does indicate that the absorbed dose
6 could be as low as 40 percent. However, there are some
7 caveats with that estimate.

8 Bun one, Cruzan and Kirkpatrick reported that 4.8
9 percent of the inhaled mass was exhaled up to 7 days
10 post-exposure. And they didn't measure the excreted in
11 first 6 hours. And this could explain much of the
12 difference between the calculated and absorbed
13 radioactivity.

14 Additionally, they use nose-only exposure, and
15 this can depress animal ventilation rates.

16 --o0o--

17 DR. BUDROE: And human studies estimate VOC,
18 volatile organic chemical, lung retention at greater than
19 or equal to 80 percent, depending on the water soluble --
20 solubility of those chemicals.

21 So respirator rate is adjusted downward by using
22 the U.S. EPA regression equation, and an adjustment for
23 depressed respiration, suggested by Mauderly, would bring
24 the predicted and observed inhaled dose into closer
25 alignment.

1 --o0o--

2 DR. BUDROE: Thus, the chamber concentration and
3 inhaled dose is not expected to reflect the depressed
4 respirations observed in nose-only administration methods.
5 And the comment on the metabolism. OEHHA concluded from
6 the radioactivity study that metabolism to TBA could be as
7 much as 71 percent at the lower of the 2 single dose
8 levels, and as much as 82 percent at the higher dose level
9 based on the U2 and U4 pathways reported in table 5 of
10 that paper.

11 --o0o--

12 DR. BUDROE: And thank you for listening to all
13 90 slides. And I'd be happy to entertain questions.

14 CHAIRPERSON KLEINMAN: Thank you for a very
15 extensive response to the questions.

16 All right. Let's see, it's 12:30. I think we
17 probably ought to hold off on our -- you know, the Panel
18 comments till after lunch. And if nobody objects, I think
19 we can adjourn for an hour, and have some lunch, and then
20 reconvene at 1:30.

21 PANEL MEMBER BLANC: How about 45 minutes for
22 lunch?

23 CHAIRPERSON KLEINMAN: Works for me. All right.
24 1:15. We're adjourned temporarily.

25 (Off record: 12:35 p.m.)

(Thereupon a lunch break was taken.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

1 A F T E R N O O N S E S S I O N

2 (On record: 1:21 p.m.)

3 CHAIRPERSON KLEINMAN: Okay. I'd like to
4 reconvene and call the Panel back to order, or as close to
5 it as we can.

6 We left off after the discussion of the Lyondell
7 and Fenton comments, and the responses to those comments.
8 Now, I'd like to start with the Panel discussion. And the
9 panelists are -- the leads are Dr. Araujo and myself. And
10 I'd like Dr. Araujo to start out.

11 PANEL MEMBER ARAUJO: Okay. So this is really a
12 revised document, you know, from a previous document that
13 it had really been written and approved about a decade
14 ago, as I understand.

15 I think that it's -- overall the -- so the
16 document is well written, and it is clear. I do have some
17 comments, and I have also some questions. And so some of
18 the comments have to do with -- I believe that they --
19 what they -- the document goes about the calculations of
20 the cancer potency values. And I think that it does give
21 most of the data that is relevant for that.

22 There are some general concepts and some
23 background that I think will be good to have, and that --
24 and I think that it's missing. For example, so there is
25 one first section where it describes the physical and

1 chemical properties, and a second small section on the
2 health assessment values. And then it goes into the
3 carcinogenicity and, et cetera.

4 But something that is left out is -- aside like
5 maybe like from one or two sentences, where it says what
6 is what the TBAC is, it doesn't really describe much. You
7 know, I mean what is -- what it is, and what is its
8 importance on where it is used and what are the sources,
9 and what is the relevance, and what are the exposures, and
10 how are the exposures, and -- so you gather like from the
11 document that the exposures may be by inhalation or may be
12 oral. In some places, it's a skin. But you have like a
13 spread. I mean, I think that it would be helpful to have
14 some section in the beginning where that is described.

15 And there is one toxicological review that I
16 don't believe that is cited at the moment, and it would
17 be -- it can be quite helpful to report that in an
18 introductory section. And it is authored by Bus B-u-s.
19 And it was published in the Critical Reviews in Toxicology
20 last year, 2015.

21 And it's really -- it's a very nice and thorough
22 review on this. And it also reviews like a risk
23 assessment quite well, which is another thing that is
24 missing. This is not a risk assessment document, I know.
25 But having some concepts like about the relevance and the

1 importance of this, and what is what people or subjects
2 who get exposed, and get exposed to, you know, in what
3 concentrations, and -- so in other words -- and so we --
4 so the reader will have an idea on is -- of how relevant
5 this is really for humans and what kind of subjects and
6 who will be exposed to that.

7 And these unit risk factors, and these inhalation
8 and oral slope factors will cover or will get some
9 importance in -- within the context of, okay, so you have
10 a factor of 1.9 times 10 to the minus 6. But what does
11 that mean? I mean, that means something dependent on the
12 concentrations of the TBAC, and that will be present in
13 the specific scenario, or context where the subjects that
14 are exposed will be exposed to. So that's one thing.

15 PANEL MEMBER BLANC: Do you want them to respond
16 to that, because it may be a simple answer.

17 PANEL MEMBER ARAUJO: Oh, sure. Yeah.

18 PANEL MEMBER BLANC: It doesn't have to do with
19 what this is an appendix to. This appendix B. Is
20 there -- was there some doc -- other document to which
21 this is an appendix of that has some of the stuff that we
22 were just referring to?

23 DR. BUDROE: Yes. Technically, this is -- it
24 will go into appendix B of the 2009 cancer potency factor
25 technical support document, so -- and that does have a

1 discussion of what a unit risk factor is, for example, how
2 it's used to calculate cancer risk in the population.

3 PANEL MEMBER ARAUJO: What about everything about
4 the importance, relevance, exposure, and risk assessment.
5 Is there another document where all that is described in
6 details? And if there is, so what about having a section
7 or a paragraph where the most significant facts are listed
8 or mentioned, and then reference it to the main document?

9 I do know, for example, that in this review that
10 I mentioned from Bus, they talk about study from the ARB,
11 and they show a lot of data and tables. So maybe those
12 studies that are in that other -- in those other
13 documents. But I haven't seen them, so I don't know.

14 DR. BUDROE: Sure. We'll -- I mean, we can add
15 chemical-specific information on the sources of TBAC, what
16 the exposures are that would be expected, the routes of
17 exposure, et cetera. We can do that.

18 PANEL MEMBER ARAUJO: Right. And if it's -- if
19 it's present in the other documents, and so it doesn't
20 need to be long or extensive. It can be quite concise,
21 but it will give the relevance and then you can reference
22 it properly.

23 I have small comments in various sections, but
24 maybe I will mention the 2 most important points that I'd
25 like to go over and discuss.

1 So one has to do with the calculations. And I
2 don't know if you have the ability of actually showing
3 some of the slides that you show in the beginning, or the
4 oral CSF, ventilation CSF, and the unit risk factors.

5 DR. BUDROE: Okay.

6 PANEL MEMBER ARAUJO: You even have the formulas
7 and -- okay. Do you have the oral or the -- right. Okay.

8 So one of the things that you very well present
9 throughout the document is that there is not much data or
10 no carcinogenic data in humans and specifically from TBAC.
11 And most of the data has to be like from studies that have
12 been done with a tert-butanol, the TBA.

13 And I am -- here is a question. I am not sure.
14 I've just been thinking through this, and even done my own
15 calculations and -- but you have -- the data that you have
16 has been calculated and you describe how it was generated.
17 It comes from the TBAC, right?

18 I'm sorry from the tert-butanol, the TBA. And
19 then you use a metabolic conversion that you're calling
20 there the MC, right, and which is 0.7. And then you're
21 also using a molar conversion factor that you're calling
22 there the MCF, and you're given a value of 0.64.

23 And the reason why you are doing that is because
24 you're calculating the ratio of the molecular weight of
25 the TBAC -- oh, the TBA divided by the TBAC, and that's

1 how you generate the 0.64, and how you generate the 0.71
2 is based on how much of the TBAC is converted into TBA, I
3 think, if I understood it.

4 DR. BUDROE: Correct.

5 PANEL MEMBER ARAUJO: But one general concept
6 that I will have is, so the TBAC is bigger in molecular
7 weight than the TBA, right?

8 So if you are to generate -- if you go for the
9 TBA -- from TBAC to the TBA, shouldn't you just be going
10 like from a larger number into a smaller number? In which
11 case, and my question is that should you really be
12 multiplying by the 0.71 and the 0.64 or should you
13 actually be dividing, because you're not calculating the
14 TBA based on the TBAC, you're calculating -- you're going
15 backwards. You're going from the TBA going back to the
16 TBAC. And what you're multiplying with this smaller
17 conversion factor, and this metabolic conversion factors
18 is like a -- if you were going like from the TBAC to the
19 TBA.

20 Unless I'm having some fundamental concept, and
21 there is not well -- am I expressing -- or do you or
22 others follow what I'm saying? Am I expressing myself
23 well?

24 To convert from the TBAC to the TBA, so you would
25 divide the TBAC by the TBA, right, so you will have a

1 positive ratio?

2 DR. BUDROE: Well, you wind up with a fraction
3 there.

4 PANEL MEMBER ARAUJO: You end up going to the
5 fraction, but what you're trying to convert is the data
6 from the TBA back to the TBAC. So shouldn't -- if you
7 multiply it by these factors which are fractions, you're
8 actually getting smaller numbers, which doesn't make
9 sense.

10 PANEL MEMBER ANASTASIO: Can I make a comment
11 here?

12 PANEL MEMBER ARAUJO: Yeah.

13 PANEL MEMBER ANASTASIO: So I went through the
14 calculation because I had the same question. And if you
15 actually go through the unit analysis, where you put
16 milligrams of TBA or TBAC, it all works out. So the
17 calculation is correct.

18 PANEL MEMBER ARAUJO: It does?

19 PANEL MEMBER BUCKPITT: Yeah.

20 PANEL MEMBER ANASTASIO: Yeah. And it's weird
21 because the units are inverse milligrams per kilogram day,
22 which is the confusing part of it. But the units are
23 correct.

24 CHAIRPERSON KLEINMAN: One other thing that could
25 help in thinking about this --

1 PANEL MEMBER ARAUJO: But -- oh sorry. Yeah.

2 CHAIRPERSON KLEINMAN: -- is there's some data in
3 there from an inhalation study that showed that the TBA
4 levels and the TBAC levels rose slightly during the
5 exposure. And then the TBAC dropped off to about 50
6 percent after about 4 hours, but the TBA stayed constant.

7 So at -- depending on where, you know, the amount
8 of time we're talking about, the TBAC could be -- you
9 know, it would be expected to be somewhat lower, than the
10 TBA at least in serum. Now, whether -- and, you know,
11 maybe it would be helpful to have, you know, some of that
12 data graphed. It's mentioned in a paragraph, I think, but
13 maybe put in -- you know, if it's possible to graph the
14 data, it might make it a little clearer that, you know,
15 the relationship between the TBA and the TBAC, at least in
16 a limited experiment.

17 PANEL MEMBER BUCKPITT: Were there complete
18 pharmacokinetic studies done on that or toxicokinetic, so
19 that you actually have AUC values for those two, TBAC and
20 TBA?

21 DR. BUDROE: No, they were not.

22 PANEL MEMBER ARAUJO: What --

23 PANEL MEMBER GLANTZ: Just to -- and I -- and
24 when I was reading it, I got confused by this same point
25 too. And I think if in the report, you actually put the

1 units in, basically do what Cort did, it would make it
2 clearer, because I had kind of the same reaction. It
3 seemed like it was backwards.

4 But I think if you -- instead of putting the
5 0.71, actually put the units of what divided by what. So
6 when you see the thing worked out, you can -- I think it
7 would make it a lot clearer, basically to do what he did,
8 because I didn't think to try that.

9 DR. BUDROE: Okay. We can add that.

10 PANEL MEMBER ARAUJO: Well, two things. So,
11 yeah, what Dr. Kleinman mentioned is actually on page 2 of
12 the document. And it does relate to the pharmacokinetics.
13 I went to the original reference, which is a study from
14 Groth in Human and Experimental Toxicology in 1994,
15 because it just appeared strange to me that statement, and
16 that there was a decrease in one of the compounds from the
17 TBA, but the other compound it stayed the same.

18 Well, that can be sure, but it depends on the
19 time frame when this has happened. Eventually, the TBA
20 has to drop, and that is not mentioned in the paragraph.
21 And that what is could be misleading.

22 So what I did find in the study is exactly what
23 you're saying, but they did a follow up of -- and the
24 whole kinetics is for 300 minutes. At the moment, when
25 the TBAC starts dropping, it's like in the 250 minutes of

1 their experiment, and they just follow up like for 50
2 minutes more.

3 So if they had continued seeing these like, let's
4 say, for 100, 500, or 10000 minutes. And eventually,
5 they're going to see that the TBA will drop. So I think
6 that this will need to be edited, and that information
7 will need to be added.

8 And if -- and if -- if the figure were to be
9 inserted, so that would certainly make it very clear,
10 because that would not be -- it would leave any ambiguity
11 out. But going back to the risk factor -- to the
12 conversion factors. I know that the units make sense and
13 everything. It's -- and I don't want to spend a lot of
14 time, because I could be mistaken, and so maybe the way to
15 do this is that after -- or what if we just invite to
16 review these calculations, and I can actually get together
17 maybe one-on-one, you know, and look at the calculations
18 and per se, and either I understand them and it makes
19 sense for me, or actually we see where the problem could
20 be.

21 But again, it has to do is with the
22 directionality, and I'm thinking of the ratio. It's not
23 the units. I don't have any problems with the units. I
24 think that the molecular weight is correct, that the
25 conversion factors are correct, and the ratios are

1 correct. I think it is in the way how the factors are
2 used. That's what I'm having a problem. I have the
3 feeling that it could be instead of multiplying, that it
4 could be dividing.

5 PANEL MEMBER ANASTASIO: Well, and another way to
6 think about it is, you know, so this is a risk per dose,
7 right? So TBAC weighs more than TBA.

8 PANEL MEMBER ARAUJO: Right.

9 PANEL MEMBER ANASTASIO: Therefore, the risk per
10 dose of TBAC is lower than the risk of TBA per dose of
11 mass. So the directionality is correct.

12 PANEL MEMBER ARAUJO: Oh, I see. I see what
13 you're saying. Okay. All right. We'll talk.

14 What was the other point then that I wanted to --
15 okay. So the other point then, which you spend like
16 about, you know, 80 percent of the response is focused on
17 that. It focus is on a fundamental question is that it is
18 being asked by the company, is the TBAC carcinogenic, yes
19 or no?

20 And they are arguing that it's not. And then
21 you're showing the evidence, and the agency is showing the
22 evidence, and that supports, and that it should be
23 considered a carcinogenic. And the section does list
24 and pretty much all the relevance that it is, and all the
25 relevant information.

1 It's a bit confusing though in the way how it's
2 presented, and it is organized. It is actually very well
3 presented in here in the slides. And when you're reading
4 it, it's a little bit of back and forth. And at the end,
5 you don't even have a clear feeling of what is -- really,
6 what case are you making?

7 So I think that I do have some suggestions. The
8 discussion comes into whether the compound feels or meets
9 like the 7 criteria for these to be considered or the
10 tumors that are developed are due to these alpha-2
11 globulin as a suggested response or are due to genotoxic
12 and -- or some other mechanism effect.

13 And basically, what you're saying is that it
14 doesn't fulfill all the criteria, right?

15 And because it doesn't fulfill all the criteria,
16 so you cannot -- and they're arguing it does fulfill at
17 least some -- the 4 essential criteria. It doesn't need
18 to fulfill all the 7 criteria.

19 So you start like by -- I could suggest that once
20 in page 21 where you put like the 7 criteria, that you
21 have some sort of like a summary statement or introductory
22 statement, even from the dinning, saying, you know, that
23 the TBA fits completely some of the criteria, and put
24 criteria -- right -- partially some of the criteria and
25 put criteria 3, 4 and 5, and does not fit any criteria,

1 and put the criteria.

2 So, you know, even from the beginning, you know,
3 what is -- what is -- what to look after. And then you
4 can start a discussion of each one of the criteria and
5 then -- but -- yeah, and after you do the whole
6 discussion, I would say that you have sort of like a
7 summary or a concluding paragraph at the end where you put
8 the position. So based on this data and that it
9 doesn't -- it meets some of the criteria, but it doesn't
10 meet some of the criteria, so we cannot conclude that this
11 carcin -- tumorigenic effects are due to this alpha-2
12 globulin associated effect. So there will be all the
13 data, but it would be like a clear message, and at the
14 end.

15 And I think that having a table like the one that
16 you showed to -- in response to the company, and that you
17 show in the slides today, you put a table where you
18 compare your analysis with -- and the -- and other
19 analysis. You don't need to put the other analysis, but
20 you can have a table like that, where you summarize
21 actually Criteria 1, 2, 3, 4, 5, and then have like the
22 different studies or things and support, and that --
23 whether it fits or it doesn't fit the criteria.

24 So I think that that would make it really
25 helpful. And it's important. It's really the hope -- you

1 make the whole case on whether this is carcinogenic or not
2 is actually based on these 10 points, right? So having
3 this quite clear, I think that it would be beneficial.

4 And then was -- so these are the 2 more
5 fundamental points. And some others will be -- on page 3,
6 where you are discussing the metabolism and the
7 pharmacokinetics in paragraph number 4 of page 3, you talk
8 about, "Two major radioactive components, A1 and A2, were
9 detected in expired air from high-dose animals 6 hours
10 post-exposure", but you never define what A1 and/or A2, or
11 at least, I couldn't find them.

12 They are not even -- they are not shown either in
13 the figure 1 where you have the proposed metabolic
14 pathways. I have the feeling that they actually come from
15 the study indirectly. And maybe, they -- and I was going
16 to look at the study, but I couldn't really find it.

17 So if you could define those, what are A1 and A2,
18 and would even mention the specific compounds that you're
19 referring to. Otherwise, it is just not very clear.

20 In page 3 also, at the end of the last paragraph.
21 And so you're saying the -- and this is -- also talks
22 about the metabolic and pharmacokinetics, you talk about
23 like the free TBA was not detected in the blood, and after
24 the whole -- so my question to you is like it is not
25 detected, there is no free TBA period, and it is -- is it

1 bound to have all other components and proteins, lipids,
2 lipoproteins and -- or it is that the whole TBA is
3 metabolized, and to the -- metabolize to the other
4 compounds that you mentioned. Do you know?

5 DR. BUDROE: Well, not everything is -- not all
6 the TBAC is metabolized to TBA.

7 PANEL MEMBER ARAUJO: Right, but you do mention
8 is that free TBA -- but once the TBAC is metabolized to
9 TBA, so there will be -- you should be able to detect it,
10 and actually you detected it -- you detect TBA in the
11 blood, whether that is free or coupled with other things,
12 that I don't know. I don't know what is the form, how TBA
13 is found in the blood.

14 DR. BUDROE: Well, you'd wind up -- what you're
15 going to wind up finding is either 2-hydroxyisobutyric
16 acid, which is the U2 in the metabolic scheme, or the
17 T-butanol glucuronide conjugate, so --

18 PANEL MEMBER ARAUJO: But those are the
19 metabolites.

20 DR. BUDROE: Right.

21 PANEL MEMBER ARAUJO: What about the TBA? The
22 TBA is measured in the blood, not the metabolites, the
23 TBA.

24 DR. BUDROE: Well Groth and Freundt found that in
25 the blood in their study -- what Cruzan and Kirkpatrick

1 were looking at were -- was a metabolism study. And after
2 that single dose, you know, when they actually did the
3 measurements, they didn't find free TBA at that point, so
4 they were looking at it downstream time-wise far enough.

5 PANEL MEMBER BLANC: How far down? Maybe the
6 confusion is you how downstream it is, how far after the
7 dosing. And I have to say that in the other study, you're
8 not explicit that they -- about the implications that they
9 never achieved steady state. So when you talk about the
10 half-life of the parent compound, the animals never
11 achieved steady state. They were still increasing their
12 levels. And that -- doesn't that have some implications
13 for how you interpret what you're calling a half-life, in
14 a way?

15 DR. BUDROE: That does. And we can go back and
16 look at that and clarify that.

17 PANEL MEMBER BLANC: And do you have a sense as
18 to why they never achieved steady state? They were
19 obviously exceeding the metabolic capability of the
20 animals.

21 DR. BUDROE: Could be saturation.

22 PANEL MEMBER BLANC: I mean it has to be, doesn't
23 it?

24 DR. BUDROE: Um-hmm.

25 PANEL MEMBER BLANC: So does that have some

1 implications about the proportions that go different ways.
2 I mean, your -- your inference by the level of the
3 metabolite you care about being 350, and the level of the
4 parent compound was 300, or whatever it was, something
5 like that, I don't remember exactly, is that, you know,
6 that's the major way it's going. But that's the major way
7 it's going when you've saturated the metabolism.

8 So I don't know what it means, if you weren't
9 saturating metabolism.

10 DR. BUDROE: If you were using very low doses.

11 PANEL MEMBER BLANC: Well, yes, lower than what
12 these animals were exposed to.

13 PANEL MEMBER BLANC: And then related to that,
14 just while I'm here, did you -- was there any data -- I
15 know there's no human cancer data or no human
16 epidemiologic data, but is there no human metabolic data
17 even in vitro?

18 DR. BUDROE: None.

19 PANEL MEMBER BLANC: So you have no idea actually
20 if this metabolite is a metabolite in humans exposed?

21 DR. BUDROE: No, we're making the assumption that
22 rats parallel humans.

23 PANEL MEMBER BLANC: Do you -- do you have other
24 enzymatic reasons to make that assumption, in terms of
25 what you think -- I mean, is there some basis for making

1 that assumption, or is it just a public health protection
2 assumption?

3 DR. VORK: This is Dr. Kathleen Vork. We have
4 looked at other chemicals that metabolize to TBA, and
5 those chemicals do have some human data, so we can look at
6 that further to answer your question.

7 PANEL MEMBER BLANC: And I would say something
8 about it in the text maybe, because it's kind of an
9 obvious, you know, question one would ask oneself about --
10 about this.

11 DR. VORK: Thank you.

12 DR. BUDROE: To get back to Dr. Araujo's question
13 earlier. The radioactive components, A1 and A2, that were
14 detected in the expired air, we actually have in the
15 document that A2 was chromatographically identical to
16 TBAC. And the author's couldn't identify component A1.

17 PANEL MEMBER ARAUJO: They don't say what it is.

18 DR. BUDROE: No, they couldn't identify what A1
19 was, but A2 was TBAC.

20 PANEL MEMBER ARAUJO: So in that case, so you
21 could say it instead of just saying A1 or A2, because A1
22 or A2 is just a label that they make.

23 DR. BUDROE: Right. Now, we actually state that
24 A2 is TBAC.

25 PANEL MEMBER ARAUJO: Oh, oh, that you're saying

1 it after. The author has stated -- I see.

2 How do you say, so it doesn't --

3 PANEL MEMBER BLANC: Confused.

4 PANEL MEMBER ARAUJO: Yeah. So maybe you can
5 make 2 major reactive components of which the authors
6 label or name as A1 and A2 were detected, blah, blah,
7 blah. But the thing is when you're putting 2 major
8 recommendations A1 and A2 and you're coming from a whole
9 description where you talk about you -- you, 1, U2, 4, 6,
10 et cetera, and you have a good correlation with the figure
11 in figure 1. When it just comes with A1 and A2, it just
12 throws you off. Where is that?

13 I actually went to the figure and tried to see
14 which one is A1 or A2 and I didn't see it. So just maybe
15 mention it, you know, the -- clarify that way, and --

16 DR. BUDROE: Right, we can clarify that.

17 PANEL MEMBER ARAUJO: Okay. In that same page,
18 it is awkward that you were able -- or they were able to
19 detect the TBA in various tissues after the
20 administration, tissues such as the larynx, trachea, lungs
21 fact with the lows -- animals that were exposed to low
22 concentrations.

23 But when they were exposed to high
24 concentrations, so they could not detect productivity in
25 none of these tissues. And you do mention that maybe that

1 was probably due to the low specific activity of the
2 Carbon 14 TBAC that it was used.

3 My question is, is this your speculation, is this
4 the author's interpretation, was there any data in that
5 paper that show that that could be the reason, or that --
6 are there any other alternative explanations of why is
7 that happening?

8 DR. BUDROE: That was the author's conclusion
9 that we're citing in the document.

10 PANEL MEMBER ARAUJO: Did it show any data?
11 Because this is -- this is freely like a very hand waving,
12 you know, like -- they will have to actually show some
13 compounds or they do the measurements of the current 14,
14 and then they see like the radioactive counts, and per
15 tissue, or maybe -- weight, and with lower in the high
16 concentrations versus the other, if they use the same.
17 Carbon 14 as very long half-life, right?

18 And it is likely that they probably had like the
19 same path. Why to explain that they will have in one --
20 same -- probably same experiment one would hide a specific
21 activity that allows you to detect all these, and then
22 another one with a very low specific activity that doesn't
23 allow you to detect it?

24 PANEL MEMBER BUCKPITT: Well, the specific
25 activity is the amount of radioactivity per milligram of

1 compound, or nanomole of compound.

2 PANEL MEMBER ARAUJO: Right.

3 PANEL MEMBER BUCKPITT: So when they went to the
4 high doses, they probably used the same material, but had
5 to dilute it with cold material to use it.

6 So that necessarily decreases your ability to
7 detect. You can take their data and actually put limits
8 of detection. Assume twice background, you can work
9 backwards based on their specific activity and determine
10 what the limits of detection would be. And if you want
11 to, put that into your report and say, all right, they
12 could have detected at this level or above, and that might
13 solve your problem.

14 PANEL MEMBER ARAUJO: Might solve -- exactly,
15 yeah.

16 DR. BUDROE: Yeah, and that -- your description
17 of what's going on is correct. And we can go ahead and
18 work up a limit of detection for that and --

19 PANEL MEMBER ARAUJO: And this has to do with
20 pharmacokinetic data. Although it's not mentioned in this
21 first section. It's mentioned elsewhere, like, for
22 example in page 34, but you talk about fractional
23 absorption for the TBAC of 95 percent and you're using it
24 like for the derivation of your CSF values, but I never
25 saw a reference for that.

1 And this number is different to the number that
2 was used in the previous document, and by other authors
3 where today the number has been as lower as 70 percent or
4 something like that.

5 So if you have it, so I think that you should
6 just reference it, because you're making a change in the
7 document based on a value that is not being substantiated.

8 Actually, you even put it in the first page,
9 right, in the second -- in the first paragraph -- at the
10 end of the first paragraph you put the unit risk factor
11 and inhalation slope factor assumes that 95 percent of an
12 inhaled dose of TBAC is absorbed systemically, but other
13 authors have used like different doses -- different
14 fractions, I'm sorry.

15 DR. VORK: Okay. The value of 95 percent -- this
16 is Kathleen Vork -- is coming from table 3 of Cruzan and
17 Kirkpatrick for the low dose, table 3, where the amount of
18 exhaled from air is radio -- amount of radioactivity
19 exhaled from air is 4.8 percent of the inhaled dose.

20 PANEL MEMBER ARAUJO: Okay. So you can just say
21 it and reference it --

22 DR. VORK: Thank you.

23 PANEL MEMBER ARAUJO: -- and then you will know.

24 The document is about the cancer potency values.
25 And this is where you need to focus, but I -- again, I

1 don't know if this is -- what I'm going to say is present
2 in one of the other documents about this compound from
3 the -- for the Agency. But mention or having a brief
4 mention of some of the other effects and the TBA costs --
5 causes will be helpful also to have that overall
6 perspective on the toxicity of the compound. So mention
7 something about the reproductive toxicity, something about
8 the developmental toxicity, something about the
9 neurodevelopmental toxicity.

10 In some documents, those are like a very long
11 paragraph, and long sections. It doesn't need to be a
12 long section, but at least, you know, that it gives -- it
13 allows to have that perspective that a TBA does something
14 else in addition to have a risk of for cancer, and also
15 mention about side effects, you know, when you get exposed
16 to it, and what are the symptoms, and that you have. And
17 I don't know if you mentioned it somewhere in the document
18 and I missed it, but --

19 DR. BUDROE: Okay. So you'd like a short TBA
20 noncancer toxicity summary.

21 PANEL MEMBER ARAUJO: Yeah. Okay. Now, going
22 back to the point of the different studies and that are
23 used to document on the toxicity of the TBAC. And so you
24 have like in vitro studies and you have in vivo studies.
25 And the in vivo studies don't really show it, and it's

1 mostly like the in vitro studies and the Comet assay, and
2 you responded to the company well.

3 Why is that -- and you also mentioned that in the
4 in vitro studies that toxicity was excluded as a cause for
5 or to explain like the -- a false positive result and with
6 Comet assay.

7 However, with the animals, something curious
8 happened. So you do have some -- a statistical
9 significant difference that they meet those, but you don't
10 have a significant difference with the highest dose. And
11 the explanation that you give, and probably the authors
12 give, and that is in table 1, page 7, is that the
13 animals -- it's because of decreased survival at the
14 higher dose. So it is like the lower number of animals,
15 so probably the animals don't really make it all the --
16 don't -- to exhibit the carcinogenic effect. What do
17 they -- do you know what was the reason for lethality on
18 why did they die off? Did the author say it in the paper?

19 DR. BUDROE: No. NTP didn't specify what the --

20 PANEL MEMBER ARAUJO: But that presumably tells
21 you that TBAC is doing other things that are actually
22 quite important, it even decreases survival, and at the
23 higher dose that are not cancer related. So even -- so
24 that's in the NTP, right, because that was the National
25 Toxicology Program study?

1 DR. BUDROE: Correct.

2 PANEL MEMBER BLANC: Can I ask a question?

3 PANEL MEMBER ARAUJO: Sure.

4 PANEL MEMBER BLANC: Did I understand though the
5 numbers, right, where you said only 1 survived to the end
6 of the 2-year period? Whereas, the -- no, and then you
7 said only 10 in the once that didn't have the high
8 mortality? Did I -- was I misreading what you were saying
9 about how many survived and didn't survive to the end of
10 the study period? That's right in the same section.

11 PANEL MEMBER BUCKPITT: Line 133, I think. 133
12 through 139.

13 PANEL MEMBER ARAUJO: 133?

14 PANEL MEMBER BLANC: Which page?

15 PANEL MEMBER BUCKPITT: Page 5.

16 PANEL MEMBER BLANC: Yeah. Survivals were 10 of
17 50, 6 of 50, 4 of 50, and 1 of 50. That survival to the
18 end of the study period.

19 DR. BUDROE: Right, 1 of 50 animals survived to
20 the end of the study.

21 PANEL MEMBER BLANC: Well, so I guess what we
22 care about is, you mean, they died 1 week early? Because
23 if only -- you know, if 49 of them died at 1 year, that's
24 a lot different than if 49 of them died at 1 year 11
25 months and 12 days.

1 DR. BUDROE: Right. And that's one reason we did
2 the poly-3 incidence correction.

3 PANEL MEMBER BLANC: But the poly-3 incidence
4 correction didn't change much. It really didn't have a
5 big effect. So it doesn't seem to me that they died all
6 that early, if that's true.

7 DR. BUDROE: They -- yeah, the survival curves --
8 I don't have the NTP document with me, but most of the
9 mortality was fairly late in the study.

10 PANEL MEMBER BLANC: So could you please, rather
11 than simply say that other sentence, which is kind of
12 shocking, give some sense of the median survival or
13 something.

14 DR. BUDROE: Right, when the mortality occurred
15 in the study.

16 PANEL MEMBER BLANC: Right. Otherwise, it
17 doesn't make any sense. Your -- the impact of your
18 survival adjusted-risk factors should be -- it should
19 change dramatically. It should become much more potent,
20 if that was really the case, I would have thought, but it
21 doesn't seem -- you have these adjusted numbers, which is,
22 you know, 7 out of 33. Somehow, the adjustment adjusts
23 down the number of animals studied is what it seems to do,
24 if I understood it.

25 DR. BUDROE: Correct. It reduces the effective

1 incidence, but you're --

2 PANEL MEMBER BLANC: But not -- but by
3 reducing -- it just -- it should increase the incidence,
4 which it does actually, because it's not 7 out of 50, it's
5 7 out of 33.

6 DR. BUDROE: I misspoke. It reduces the
7 denominator.

8 PANEL MEMBER BLANC: Right, but if you notice the
9 denominator numbers are actually really close to each
10 other, right? It's like 33, 36, 34. So the statement
11 about the decreased survival time affecting what you saw
12 doesn't -- isn't consistent with what you then report? So
13 therefore, I wouldn't say that decreased survival time is
14 the explanation for why, in the highest exposure group,
15 you see less -- a less absolute incidence of disease.

16 DR. BUDROE: We can reevaluate that statement.

17 PANEL MEMBER BLANC: Then also, if I might, in
18 light of what you said, and maybe Stan wants to comment on
19 it, but I actually don't know that, at least if you're
20 going to use a 0.05 level of significance, I don't know
21 how you're allowed to just pick and choose which group you
22 say is statistically significant from the baseline at
23 0.05.

24 Obviously, you did 3 separate tests, the
25 second -- the first dose versus no dose, the second dose

1 versus no dose, and the third dose versus no dose. And
2 you say the middle dose is statistically significant at
3 the 0.05 level, but those are not 3 independent tests, in
4 that sense.

5 DR. BUDROE: Well, we did pairwise comparisons
6 between the dose levels and the controls, and that gets
7 commonly done. NTP does the same thing.

8 PANEL MEMBER GLANTZ: Did you adjust for the
9 multiple comparisons?

10 DR. BUDROE: No.

11 PANEL MEMBER GLANTZ: Okay. Well, that's the
12 point Paul is raising, so you should, unless -- I mean,
13 unless there's an established protocol not to be. But if
14 you're doing a family of comparison like that, that's
15 going to inflate the number of significant findings that
16 you get beyond the nominal 0.05 P-value.

17 DR. BUDROE: Well, NTP commonly doesn't when they
18 present their results. They do pairwise comparisons and
19 they do a trend test for dose response, and that's it.

20 PANEL MEMBER BLANC: Well, the trend test is
21 allowable. You did that.

22 PANEL MEMBER GLANTZ: Yeah.

23 PANEL MEMBER BLANC: And that was significant, if
24 I looked at the footnotes correctly, right?

25 PANEL MEMBER GLANTZ: Yeah. I mean, the trend

1 test avoids this problem. But if you're going to do a
2 bunch of pairwise comparisons, they should be corrected
3 for multiple comparisons.

4 DR. BUDROE: Okay. We can take look at that
5 issue.

6 PANEL MEMBER BLANC: Sorry, I interrupted.

7 PANEL MEMBER GLANTZ: I mean, I think that -- I
8 mean, I think the trend test actually makes more sense,
9 because you're presuming there's a dose response, right?

10 DR. BUDROE: Correct. And although the high dose
11 probably causes problems with that is probably why there
12 wasn't a positive trend test for the male rat kidney
13 tumors.

14 PANEL MEMBER GLANTZ: Well, so what's wrong with
15 the high dose data? I mean, I noticed that too when I was
16 reading it. I mean, is that just random fluctuations, or
17 when you get to the very high doses, does something change
18 in the way the drug is being handled or the chemical is
19 being handled?

20 DR. BUDROE: No. Beyond the mortality being
21 slightly higher for the high dose, we probably don't
22 really have an answer for that.

23 PANEL MEMBER GLANTZ: Yeah, because if you're
24 presuming that the -- that the -- that there's not some
25 fundamental change in what's going on biologically that

1 would account for a non-linear effect, then it is a
2 problem. But just doing the pairwise comparisons -- I
3 mean, if you do enough comparisons, something will be
4 significant.

5 PANEL MEMBER BLANC: If they -- if you do a
6 Bonferroni adjustment on your P-value of 0.01 -- 0.012 is
7 still significant, if you divide 0.05 by 3. So you're --
8 so maybe that is the way they handle that problem.

9 PANEL MEMBER GLANTZ: Yeah, or you could use the
10 Holm-Šídák, which is going to be less conservative.

11 But I do think -- I do think this question of why
12 did the curve bend down at the high doses, I mean, is that
13 just random, because it -- I mean, because you don't have
14 thousands of rats, so -- or is there something going on
15 there? I mean, that's what I wondered in looking at it.
16 I mean, this is not my area of specialization, but just
17 looking at the statistics, I did find that a little odd.

18 CHAIRPERSON KLEINMAN: But even at -- you know,
19 in the control group, you had 90 percent mortality at 2
20 years. Two years is really, you know, pushing the age on
21 these guys on the mice.

22 PANEL MEMBER GLANTZ: Well, then it may be that
23 you should back -- you should just make that case and look
24 at the effect that a shorter time period than 2 years,
25 because if you're -- if the background death rate is going

1 up because you waited 2 years, then that is going to
2 obscure the -- detecting the effect of the drug -- or I
3 keep saying drug, the chemical.

4 DR. BUDROE: Okay. And there's also -- it's been
5 pointed out to me that the metabolic pathway has somewhat
6 shifted as dose increased in the Cruzan and Kirkpatrick
7 study. And there's the possibility of what your seeing is
8 a shift in -- a shift in metabolism as the dose increases.
9 I mean, hypothetically, that's one possibility.

10 PANEL MEMBER GLANTZ: Well, I mean, if you think
11 that's what's going on, then maybe you should make the
12 case not to look at the highest dose group, because what
13 you're interested in looking at is the effective low
14 levels of exposure.

15 DR. BUDROE: Okay. We actually do drop the high
16 dose group from the BMDS model.

17 PANEL MEMBER GLANTZ: Well, that's true, yeah.
18 Well, then maybe you should be consistent through the
19 report, if you have a good reason to do it from a
20 biological point of view.

21 DR. BUDROE: Okay. Well, we can correlate the
22 biology with the curve fitting.

23 PANEL MEMBER GLANTZ: Yes. That's a good idea.
24 I actually had something to say, which surprised
25 me.

1 PANEL MEMBER BUCKPITT: As long as we're on this
2 topic, I have a problem reading this. It says the
3 24-month termination you had 13 out of 15 animals had
4 their adenomas and carcinomas of the renal tubules. And
5 yet, 2 sentences later, you say the 2-year survivals were
6 1 out of 50 in that low -- in that high dose.

7 How can you get 13 out of 50 animals having
8 carcinoma at 2 years, when only 1 out of 50 survived?

9 DR. BUDROE: That's the cumulative incidence by
10 the end of the study. So if you have animals that died on
11 round --

12 PANEL MEMBER BUCKPITT: Got it. Thank you.

13 PANEL MEMBER BLANC: I don't suppose the NTP
14 gives you the actual survival time by rat, do they?

15 DR. BUDROE: They didn't, but we got that data, I
16 believe. Dr. Rona Silva, the -- that was included in the
17 data that was used to generate the poly-3 correction?

18 DR. SILVA: Hi. This is Dr. Silva. Yes.

19 PANEL MEMBER BLANC: So you have -- each rat how
20 many days they survived, and that's what you used for the
21 adjusted analysis?

22 DR. SILVA: Yes.

23 PANEL MEMBER BLANC: So is there a way to do a
24 proportional hazards analysis where you look at cancer
25 free survival time and you censor the ones that died

1 cancer free adjusted for the exposure level?

2 DR. SILVA: Oh, they will look into it. There is
3 a way to do it.

4 PANEL MEMBER GLANTZ: Yeah. I mean, that is a
5 good suggestion, because --

6 PANEL MEMBER BLANC: And I hope you noticed the
7 way he said that's a good suggestion. In other words,
8 anything else I said wasn't so good.

9 (Laughter.)

10 PANEL MEMBER GLANTZ: No, that -- that was one of
11 your many excellent suggestions.

12 (Laughter.)

13 PANEL MEMBER GLANTZ: No, but that -- that
14 would -- that would get at the -- at the issue I was
15 trying to raise. If you -- you know, if the rats died of
16 other things would be to censor them when they died of
17 something else, yeah. That might fix this problem. And
18 that will probably give you a more sensitive measure, too.

19 PANEL MEMBER ARAUJO: Okay. So I -- following up
20 just a small point. So on page 2, it's just changes in
21 how to say things, and -- or how to show them. Like,
22 usually in animal studies, those -- don't use animals that
23 were killed, you know, instead say "sacrificed" or
24 "euthanized". So second paragraph, page 2, instead of
25 saying 2 animals per group were killed immediately after

1 exposure, I would change that to again "sacrificed" or
2 "euthanized".

3 On page 5, the numbers in the last paragraph,
4 when you talk about the incidence of the adenoma and -- or
5 renal tubule adenoma in the various studies don't really
6 match the numbers that I -- that you're showing in table
7 1. So the numbers like, for example, control male rat
8 renal tubule adenoma incidence was 2 out of 327. And you
9 are actually -- and you go to table 1, and you don't
10 really see where the 2 are coming from. You actually
11 have -- in one group you have 1, in another you have like
12 an 8. And even if you add like all the different groups.
13 So I don't know if I am creating too much and -- but I'm
14 not really making much sense in the --

15 DR. BUDROE: Well, those incidence numbers and
16 like line 144, 145, that's actually the historical control
17 incidence for that tumor type. So that's not the
18 incidence -- the study incidence, that's just a relative
19 comparison --

20 PANEL MEMBER ARAUJO: Oh, I see. I see.

21 DR. BUDROE: -- of what the control incidence
22 would be.

23 PANEL MEMBER ARAUJO: Oh, okay. Got it.

24 Do they say it? I don't know. But you did say,
25 "Comprising the recent NTP historical control database

1 from drinking water studies". So you say it at the end.

2 Okay. So never mind.

3 And page 7, just a misspell on the heading
4 "Biochemical Effects and Cell Proliferation". Make sure
5 that you fix the cell.

6 And page 10, in the legend of the table, it is
7 confusing how you're showing like the different
8 probabilities. And so it seems that the other supply of
9 Kruskal-Wallis test in looking at significance, and then
10 you put little A for all the P-values in the control
11 group, and mention something like, "Probabilities
12 associated with the vehicle control group represent the
13 Kruskal-Wallis test. For all other probabilities,
14 P-values are from comparison of the respective group
15 versus the vehicle control using a Mann-Whitney U test
16 with the Z-scores corrected for ties".

17 I'm not at a decision -- Stan, please correct me
18 I am wrong, but I assume that they did --

19 PANEL MEMBER GLANTZ: What page are you on?

20 PANEL MEMBER ARAUJO: -- that they did -- this is
21 table 2 on page 10. So I assume you're having like
22 different doses and 4 different groups. And they're doing
23 a non-parametric study. So I think that they did a
24 Kruskal-Wallis to see if there was any significant
25 difference. And once the Kruskal-Wallis was positive, it

1 showed that there was a difference, they did a
2 Mann-Whitney test to localize the differences, I imagine,
3 because that's usually how it is done.

4 But you show -- like, it is always, like I say,
5 like you're doing, like, 2 different statistical tests,
6 and have like it for different groups and different
7 concentrations, and that like put in a little A for all
8 the P-values and the control group. That doesn't

9 DR. BUDROE: So you want a footnote -- one
10 footnote for a vehicle control group and a different
11 footnote for the other comparison.

12 PANEL MEMBER ARAUJO: I don't understand that.
13 What are these P-values in the control group? What do
14 they represent? The ones that you're putting with the
15 little A, what is what they --

16 DR. BUDROE: I'd have to go back. To be honest,
17 I'd have to go back and look at the study, because this
18 is -- what we've got in here is how the author has cited
19 these results.

20 PANEL MEMBER ARAUJO: Oh, I see. I see.

21 DR. BUDROE: So we didn't do that statistical
22 analysis. The authors did.

23 PANEL MEMBER ARAUJO: Again, I'll -- you know,
24 my -- I guess, my guess is that at the Kruskal-Wallis in
25 each one of these categories hyaline droplet, hyaline

1 crystals, nephropathy, and PCNA, because the data have all
2 these different groups. They saw that there was a
3 significant difference and then they asked whether are
4 they differences? And they did pairwise comparisons with
5 the Mann-Whitney in between each concentrations and the
6 vehicle control and they are showing the Mann-Whitney
7 P-values for each one of those, but this is not what it's
8 saying in the legend.

9 And what it's saying in the legend doesn't make
10 any sense to me. So if it is not what I'm thinking it is,
11 it should be something else different, but I don't believe
12 that it's really --

13 DR. BUDROE: All right. So you're suggesting we
14 have a better, a clearer definition of what the statistics
15 were -- what the -- what the statistics that the authors
16 did mean, just clarify their work a little bit.

17 PANEL MEMBER ARAUJO: Right. And if you're
18 showing these P-values or what is what these P-values are.
19 So what I think that you're saying is the P-values are for
20 comparison with their respective group versus the vehicle
21 control. So they P-values for the concentrations are
22 all -- are clear. I think that all those are Mann-Whitney
23 values. I think that it is more the question about the
24 little A -- the P values and the little A, what it's --
25 really what that means.

1 Page 12, figure 3, you're showing the figure 6
2 from the paper. Your legend doesn't really reflect what
3 the figure is showing. I think that you should have
4 your -- sort of like a subtitle or title for the legend
5 and then you can leave the whole explanation, if you want,

6 Because what you're putting as the legend is sort
7 of like your interpretation of the figure, but it's not
8 really a title or subtitle for a legend.

9 PANEL MEMBER GLANTZ: Could I just -- so one --
10 just one thing that I was confused by in this figure is
11 where did they get the R^2 from, because what they have --
12 they have error bars around the points. And so did
13 they -- were they using the raw data or were they just
14 taking the mean values that is where the dots are, and if
15 they -- without worrying about the variability, or did
16 they somehow allow for the variability in the
17 calculations?

18 So I had a hard time interpreting that picture.
19 And, you know, if you'd just look at the graph, they --
20 the line extends beyond the data down -- the data ends at
21 about 140, and they extend it all the way down to 75. I
22 don't know quite where they got that line from. And
23 you're going to get -- if you have -- because if you
24 have -- if you're just looking at the center points,
25 that's probably going to overestimate the R^2 , because it's

1 leaving out the variability around -- in the little clouds
2 around each one of the points.

3 DR. BUDROE: I will not dispute that whatsoever.
4 The -- and for an exact explanation of what the authors
5 did in there, I'd have to go back in, so we could --

6 PANEL MEMBER GLANTZ: Yeah, I mean, when I looked
7 at that, I mean, I worried a little bit that it's making
8 it look like there's a stronger relationship than there
9 is.

10 DR. BUDROE: Yeah, well, that was -- that was the
11 point that we were trying to make is that it didn't look
12 like there was -- with increasing dose, there wasn't that
13 much of an increase in cell proliferation until you got to
14 a really high dose.

15 PANEL MEMBER GLANTZ: Okay. Well, just applying
16 the eyeball test looking at that, I think that's a
17 reasonable interpretation. But I had kind of a hard time
18 understanding it. And I think, you know, it may be that
19 the thing you need to do is to redraw the graph. And, you
20 know, the other thing is it wasn't clear what the error
21 bars are. Are they standard errors, are they standard
22 deviations, are they confidence intervals?

23 And so that could have a big effect on what --
24 you know, what that picture looks like or how you would
25 interpret what's in the picture.

1 CHAIRPERSON KLEINMAN: The other problem with
2 this graph is the alpha-2 microglobulin data, you know,
3 later on we're using the fact that you don't have a
4 difference between the 250 and the 400 alpha-microglobulin
5 statistically, but there's an increase in the labeling
6 index, which indicates that, at least at those lower
7 levels, you know, the toxicity is not related to the
8 alpha-2 microglobulin. And then at the higher dose, it
9 goes up.

10 DR. BUDROE: Right. There's a -- there's a
11 disconnect between the labeling index alpha-2U production.

12 CHAIRPERSON KLEINMAN: So this -- yeah, it's
13 almost like there's a threshold kind of thing. I think
14 the graph itself is very misleading in terms of the way
15 you're going to use the data. In here, they're showing
16 that there's some sort of a relationship which is, you
17 know, looking at those first 3 data points spurious.

18 DR. BUDROE: Right. Well, what we were -- we put
19 that graph in there, but we're essentially disagreeing
20 with the author's interpretation of the data. We may not
21 have made our description in the document clear enough on
22 that point, so we can try to go ahead and clarify that.

23 PANEL MEMBER GLANTZ: Well, you know, as I said,
24 to a couple other people, I'm not a toxicologist. And I
25 found this -- a lot of asterisks in this report kind of

1 hard going because it was talking about things I don't
2 know a lot about. But, you know, when I -- see I'm
3 glad -- I had totally missed the interpretation that
4 you're putting on this.

5 No, I don't blame you for that. That maybe is
6 reflecting my lack of knowledge, but I looked at it in
7 exactly the same way Mike did, is that they drew that line
8 on there, but it really looked to me like there was a
9 threshold effect.

10 CHAIRPERSON KLEINMAN: Right.

11 PANEL MEMBER GLANTZ: You know, so I think you
12 would do better to, you know, maybe if you want to include
13 this and say here's what he said, and then have a
14 side-by-side version with what you think of -- think it
15 actually shows, that would be a lot -- I would have been
16 less confused. And, you know, the best thing would be if
17 you could somehow manage to get the raw data.

18 DR. BUDROE: I would not be -- yeah, that
19 might --

20 PANEL MEMBER GLANTZ: That's not easy, I know.

21 DR. BUDROE: Yeah.

22 PANEL MEMBER GLANTZ: But you could even -- you
23 know, depending on what those errors bars are, which isn't
24 specified. You could if -- you knew what the N's are, you
25 can almost simulate a set of data. And then that would

1 let you look and see does this support a threshold, if
2 that's an important point you want to address.

3 CHAIRPERSON KLEINMAN: But I think the point is
4 that the self -- cell proliferation is a function of the
5 dose of the TBA, and that it's not a function, a real
6 function, of the alpha-2 microglobulin. So if you wanted
7 to show a linear relationship or some sort of
8 relationship, I would change the X axis to be the
9 concentration of the chemical, and then show that you've
10 got these spots -- you know, this is the level of the
11 alpha-2 microglobulin. And it's, you know, just scattered
12 up there.

13 And then, you know, I think you could do that.
14 But, you know, there are really 3 parameters that you're
15 dealing with. And the 2 that are important are the
16 labeling index and the dose. And it looks like the
17 alpha-2 microglobulin is not an intrinsic factor to the
18 response.

19 DR. BUDROE: I agree. And that was the point we
20 were trying to make. And I think part of the problem
21 we've had with this also is that all the data was
22 graphically represented, but we don't have numeric data to
23 go back and re-analyze.

24 CHAIRPERSON KLEINMAN: What I did to, you know,
25 sort of clarify it for myself was I drew a circle around

1 the first 3 points, so you could see that those -- or you
2 could put a bar across it showing that these are all
3 essentially the same level of alpha-2 microglobulin. And
4 yet, there is an increased response to the chemical, you
5 know, if you wanted to use that graph, you know, without
6 changing the -- you know, the thing and redrawing it.

7 DR. BUDROE: Right. I mean, and the whole point
8 with that graph was that if the alpha-2u globulin mode of
9 action is actually operative, then as you see an
10 increase -- an increase in alpha-2u globulin should
11 correlate with an increase in labeling index, because
12 it's -- the increased protein causes toxicity, causes the
13 compensatory cell proliferation.

14 So if you see one, you should -- it should match
15 up with the other. And that's not occurring here. You're
16 seeing an increase in labeling index at the different
17 doses. It's not matched by an increase in alpha-2u
18 protein accumulation.

19 PANEL MEMBER ARAUJO: They don't mention any
20 P-value for that correlation in the study?

21 DR. BUDROE: They mention an R^2 , but I don't
22 think a P-value. I'd have to go back and check.

23 PANEL MEMBER GLANTZ: But if they computed the
24 P-value based on just the 4 points, that's going to be
25 wrong.

1 PANEL MEMBER ARAUJO: It should be more.

2 PANEL MEMBER GLANTZ: I mean, I think -- I think
3 if the point you're trying to make here, now that I
4 understand what you were trying to say, which I think is a
5 reasonable argument based on this graph, I would actually
6 redraw the -- I would say here's -- you got the data from
7 this guy, but redraw the graph to make the point you're
8 trying to make. I think Mike suggesting of flipping the
9 axis would probably be a good idea too. And then you
10 could just make the point in the text that the author drew
11 a different conclusion.

12 So rather than presenting their conclusion and
13 arguing against it, you should present your conclusion and
14 then make a comment that this is different than what the
15 original authors said and why, or maybe a stick a footnote
16 in there.

17 So I was very confused by this. I'm glad that I
18 wasn't alone.

19 PANEL MEMBER ARAUJO: Okay. So let's just -- a
20 couple of other points and -- page 23, table 5. So you
21 have all the data, and in between parentheses, you're
22 having some other data that for one of the numbers is
23 shown as little C, right, the 1.7 and the concentration of
24 0. And you go to C, it says, "Average severity of lesions
25 in affected animals", 1, 2, 3 and 4.

1 So I would imagine that all these values at the
2 different concentrations that are between parentheses are
3 in C, but they don't -- but you're not showing it, so just
4 put the little C.

5 But then I ask you, you also have values in
6 between parentheses for the female rats, and not all those
7 values relate to severity of lesions. So one of them
8 talks about inflammation, suppurative, mineralization, and
9 nephropathy. So do they also correspond -- so are these
10 semi-quantitative ranking of 1 to 4 or those are like a --
11 different other values?

12 DR. BUDROE: Yeah. The severity ranking is the
13 same. We can footnote those additional data types. So
14 like inflammation --

15 PANEL MEMBER ARAUJO: So from 1 to 4 mile
16 moderate --

17 DR. BUDROE: Right.

18 PANEL MEMBER ARAUJO: So I would suggest, in that
19 case, eliminating C. Take the C out of the column, and
20 then just say something in the legend. "Numbers in
21 between parentheses represent semi-quantitative, blah,
22 blah, blah, blah for that parameter, and 1, 2, 3 and 4 as
23 minimum, mile, moderate, and marked.

24 And page 28, last paragraph, you talk about for
25 line 875, "TBA significantly increased BROD activity".

1 Line 876, "Content and PROD activity", so just define them
2 before, which I think that you -- yeah, you defined them
3 in the list of acronyms, just have it in the text, because
4 this is the only time when you mention them in the text.

5 And the same goes on with the unit risk in page
6 32. So the first time that you mention it, that is in
7 line 994, so that CSF and UR, so justifying find UR also
8 prior today, which you also have it defined in the list of
9 acronyms, but it is always helpful to do it in the text as
10 well.

11 I think that that's it as far the document.

12 I only have one comment on your response letter.
13 And I think that it is very well written. And overall,
14 you really address other points as well, and make really
15 good cases and good explanations of your points. Very
16 minor thing is on page 43, in the response to Dr. Felton
17 comment 7, so you are saying that the samples are purified
18 in the samples is an indication that the sample is
19 sufficiently pure when they are talking about a ratio of
20 the DNA or the 260/280 ratio of 1.8, right?

21 And then you put it between parentheses, free RNA
22 and protein. So they ratio doesn't distinguish in between
23 DNA and RNA. So you can -- actually, you have RNA and the
24 ratio could be 1.8 or higher. And all what you can say is
25 that it is low in protein purity or some other things. So

1 just remove the RNA and it's a...

2 PANEL MEMBER GILL: Mike, I took your comment on
3 figure 3, if you replot the data from a log dose versus
4 labeling index, you nearly get a straight line. So there
5 is a difference. So you may want to replot the data. I
6 just took approximate values and you -- from the graph
7 itself. So it is not -- the base has been plotted with
8 microgram protein gives you erroneous -- plot it again.
9 Of course, you cannot plot Log 0.

10 So that's a difficult thing to plot. But the
11 other 3 are nearly a straight line, which indicates
12 therefor -- it makes your point even clearer.

13 CHAIRPERSON KLEINMAN: Okay. I have a few
14 comments in addition to what we've talked about. First, I
15 want to correct a misstatement I made earlier about the
16 removal rate from the serum. I had said something like a
17 4-hour removal rate. And that's really the length of time
18 that the animals were exposed. The removal rate was 45
19 minutes for the half -- for 50 percent to go away. That's
20 the number that was used in the calculations.

21 On figure 1, we had talked earlier, there was
22 some confusion about what's a major metabolic route and
23 what isn't. And I think since, you know, this is from the
24 Cruzan and Kirkpatrick data anyway, would it help to put
25 the percentages on the metabolites?

1 So U1 would 9 percent, U2 would be 45 percent,
2 you know, or you could put it in at 2 different times.
3 But I think it gives you a picture of where the major
4 metabolites are actually showing up in the sera, and it
5 just makes the -- that table a little bit more, you know,
6 visual, you know, and brings the point across.

7 DR. BUDROE: Yeah, I see where you're getting at,
8 and yeah, we could do that.

9 CHAIRPERSON KLEINMAN: Great. On page 5 is the
10 first time you mentioned step sections, and I appreciate
11 the -- you know, in your commentary you actually defined
12 it, but I think it would be worthwhile, because a lot of
13 people reading this might not be in tune with it as I
14 wasn't, what specifically a step section was. So just,
15 you know, the definition would be helpful.

16 There were a few minor typos, and I'll just
17 catalogue those and give them to you.

18 On figure 2, the axis -- and I'm guessing this is
19 also something you've, you know, basically copied from the
20 document Faber et al., but they talk about an alpha-2
21 microglobulin score as opposed to a concentration. And
22 are those the same thing, is the score actually the
23 nanograms per milligram of total protein or is that
24 something different?

25 DR. BUDROE: I believe it's essentially

1 impossible for me to give you an exact answer without
2 having the paper in front of me.

3 (Laughter.)

4 CHAIRPERSON KLEINMAN: Okay. I think, you know,
5 it's just something to --

6 DR. BUDROE: Oh, I can go -- I can go back, and
7 we can add a description of what the authors meant by
8 alpha-globulin score to the text.

9 CHAIRPERSON KLEINMAN: And then on page 11, on
10 line 316, it says there's a statistically significant
11 increase in cell proliferation, which I think
12 parenthetically you could put in expressed as a labeling
13 index, because you don't mention it earlier, and just for
14 clarity.

15 PANEL MEMBER GLANTZ: Well, but isn't that
16 talking about the figure that we were just talking about?
17 The text you're talking about there is talking about
18 figure 3?

19 CHAIRPERSON KLEINMAN: Yeah, it's talking about
20 figure 3.

21 PANEL MEMBER GLANTZ: Yeah, so you're probably
22 going to want to rewrite that whole thing, because that
23 may be that done properly the statement is wrong.

24 DR. BUDROE: Okay. We'll look at that and
25 the -- It will be considerably -- there's considerable

1 remodeling done on that section, so.

2 CHAIRPERSON KLEINMAN: Okay. I was a little -- I
3 may have been misreading something, but on page 14, table
4 3, the leading paragraphs talk about an experiment with
5 ends of 15 per group, and then table 3 only -- you know,
6 the number of animals is shown, which, you know, 6, 5, 5,
7 6. So I wasn't sure whether that was from a different
8 experiment or the one that you were talking about earlier
9 with the -- yeah, where they were talking about the liver
10 enlargement?

11 DR. BUDROE: Okay. I believe that they did a
12 subset, but I'll have to go back and check against that,
13 but I could see, either way, that that could use some
14 clarification as to how they got from 15 nanomoles in
15 looking at some of the gross pathology to, you know, 5 or
16 6 nanomoles in the -- in looking at the hypertrophy. So
17 we'll clarify that.

18 CHAIRPERSON KLEINMAN: Okay. Great.

19 Oh, page 18, there's -- on line 500, I'm not sure
20 I -- whether you were -- well, it says P greater than
21 0.01. I presume you meant P less than.

22 DR. BUDROE: (Nods head.)

23 CHAIRPERSON KLEINMAN: Okay. It changes the way
24 I read it. Okay.

25 PANEL MEMBER ARAUJO: But he actually said, "No

1 significant increases in the frequency of micronucleated
2 immature erythrocytes and no substantial decrease in the
3 proportion", so I guess that he's --

4 CHAIRPERSON KLEINMAN: So it -- maybe it's P
5 greater than 0.1 or some other number.

6 PANEL MEMBER ARAUJO: Yeah.

7 CHAIRPERSON KLEINMAN: That's what I had written
8 down P greater than 0.1 is a --

9 DR. BUDROE: Right. Something went sideways
10 there. We'll go ahead and fix that.

11 CHAIRPERSON KLEINMAN: Okay. On the section
12 about cytotoxicity on the Sgambato Comet assay data.

13 PANEL MEMBER GLANTZ: Where are you at?

14 CHAIRPERSON KLEINMAN: This is on page 19, line
15 542 to 546. And the last sentence is that the test
16 concentration exceeded the upper limit concentration
17 recommended for the tests. So given that, did you -- was
18 that a rationale for not considering those data in the
19 genotoxicity assessment? Did you --

20 DR. BUDROE: Well, that influences whether it
21 goes into the consideration of whether you should consider
22 that assay or not. But the number of dead cells were not
23 appreciable greater between controls and in treated
24 groups. So we could add a discussion of that in there.
25 We essentially answered the comment, but we could have

1 provided the additional information that we actually have
2 in response to comments and didn't get into the document.

3 So we can transfer that. We can add what -- what
4 we put in response to comments into the document itself.

5 CHAIRPERSON KLEINMAN: Yeah, I think -- you know,
6 that was a -- yeah, that was an area that was helpful to
7 cover. The other -- yeah, so as I said, I had some minor
8 other changes, but those really covered -- you know, and I
9 think we've had quite a bit of discussion, but I'd like to
10 go around the table and give people a chance to comment,
11 because I want to try to be conservative about our time.
12 So, Stan, do you have any further commentary?

13 PANEL MEMBER GLANTZ: Well, I just had one other
14 point and this is on the -- we got a letter dated November
15 28th from LyondellBasell. And at the -- you know, kind of
16 just responding to the response. And there was just one
17 point in there that I just thought would be worth asking
18 about, if there's any of the ARB lawyers here.

19 But in the very last substantive paragraph, you
20 know, they -- basically, they're arguing that TBAC is a
21 better alternative to some other chemicals, which are
22 used. And, you know, they're expressing some concern that
23 if we accept this unit risk fact -- or the carcinogenic
24 unit risk that that would, you know, be bad in terms of
25 regulatory impact.

1 And my understanding is that's really not on the
2 table for us. That's really a risk management decision to
3 be made by the Air Boards. And so I just -- if that's --
4 I just -- if that's not correct -- if that's correct, I
5 think -- I just wanted to have that understanding in the
6 record. And if it's not correct, then somebody needs to
7 tell us about it.

8 But that kind of tradeoff decision is not
9 something I've ever seen come before this Panel before.
10 So and that -- you know, it was a fairly strongly worded
11 letter. So I just wanted to mention that. Otherwise --
12 well, and I have one other point, but you wanted to say
13 something?

14 PANEL MEMBER ARAUJO: Yeah. No, I agree with
15 you. I -- and I think that the response was very good in
16 how you're responding to them.

17 I wonder whether there should be a mention in our
18 document, or in this document, even though this is not a
19 document about this compound, but where it is closest that
20 even other compounds that convert into TBA, such as the
21 two compounds that you mentioned, and have a
22 conflicting -- or have -- didn't say conflict in -- and
23 you can mention even the positives and negative studies,
24 you know, that you are enumerating in the response to the
25 company.

1 And that way, it would be addressed, and that way
2 it will not be -- it will not seem as if this was just
3 left out or not considered. At least it will give the
4 impression that, you know, that you will -- you've
5 considered, you've thought about it.

6 DR. BUDROE: You're talking about MTBE and ETBE?

7 PANEL MEMBER ARAUJO: Right.

8 DR. BUDROE: That would make this a vastly more
9 complex document because there is a large amount of data
10 out there for both those 2 compounds. And to try to boil
11 that down into a summary to put in this document would be
12 challenging.

13 PANEL MEMBER GLANTZ: Yeah, I don't think that's
14 good idea --

15 PANEL MEMBER ARAUJO: I see.

16 PANEL MEMBER GLANTZ: -- because that's why
17 they've separated the risk assessment from the risk
18 management part. I mean, there is an MTBE document that
19 went through this Committee a long time ago. I actually
20 worked on that one too. So I don't think we want to get
21 into comparative statements.

22 The only reason I brought this up was to try to,
23 you know, show that we are paying attention to what these
24 public comments are. And to make sure that my
25 interpretation that those kind of issues about trade-offs

1 between chemicals is not our job here. Our job here is to
2 assess whether the unit risk in this document is
3 reasonably set and defended, and not to look at -- you
4 know, given this, are -- even with this, are you still
5 better off using this chemical instead of some other
6 chemical in some industrial process. I don't -- that's
7 not our job.

8 PANEL MEMBER ARAUJO: Yeah.

9 PANEL MEMBER GLANTZ: Okay.

10 PANEL MEMBER ARAUJO: But I don't know if there
11 will be another way of addressing this, because I think
12 the point is very good. There's -- we are making a case
13 that this TBAC is carcinogenic --

14 PANEL MEMBER GLANTZ: Yeah.

15 PANEL MEMBER ARAUJO: -- not based on TBAC data,
16 but based on TBA data, right?

17 PANEL MEMBER GLANTZ: Right.

18 PANEL MEMBER ARAUJO: And there are other
19 compounds that generate TBA that are not considered
20 carcinogenic on that -- with that same basis. So it's
21 sort of like a lack of consistency, right, in how we
22 analyze. I don't know if something very brief could be --
23 and I think that you responded well. You mentioned the 2
24 studies, and that show like positive data with the others
25 that were not included in the analysis, whenever those

1 analysis were done.

2 What if I just mention those couple of -- those
3 studies that they talk about in their response and
4 reference to the previous documents and to have already --

5 PANEL MEMBER GLANTZ: Well, I just think it would
6 be different from the -- it would be the first time
7 anybody did something like that in one of these documents.
8 I mean, the -- I think it's -- I mean, it's on the record
9 in the hearing, and I think it's something that, you know,
10 the Air Resources Board could take and Cal EPA can take
11 note of.

12 But I don't think we really -- I think this --
13 these reports are fairly narrow -- for better or for worse
14 are kind of narrowly focused. And I do think -- I mean, I
15 did go back while I was listening to the discussion and
16 look at -- you know, they're very clear in the document
17 that in setting an inhalation unit risk factor for TBAC,
18 it's -- they're not saying TBAC per se is a carcinogen.
19 It's just the exposure to TBAC, that's metabolized into
20 TBA, which is carcinogenic.

21 And so that -- I think that is an important
22 distinction, but I think it's made pretty clear in the
23 document.

24 CHAIRPERSON KLEINMAN: Sarjeet, do you have a
25 comment?

1 PANEL MEMBER GLANTZ: Well, I just had one
2 other --

3 CHAIRPERSON KLEINMAN: Oh, one other. Sorry.

4 PANEL MEMBER GLANTZ: -- one other question. And
5 this is, I mean -- or maybe I'll come -- let me come back
6 to it at the end. Let's hear whatever everybody else says
7 first.

8 PANEL MEMBER GILL: Mine is actually just a
9 question more than anything else, or a comment, because
10 the -- irrespective of what mechanism of action of TBA or
11 TBAC is, because there's some issue that is not there,
12 it -- does OEHHA have a confidence that basically TBA is
13 carcinogenic based -- and because there's only one value,
14 correct, or actually 2 data points. One is thyroid, and
15 the other one is actually in the Toxic Substances Program
16 I mean, their testing program in NTP, that it's actually
17 carcinogenic. So there are 2 data points, correct?

18 DR. BUDROE: Correct.

19 PANEL MEMBER GILL: So how much confidence that
20 you have -- OEHHA has in that this is a relatively
21 significant carcinogen?

22 DR. BUDROE: Well, we've got 2 different tumor
23 types in 2 different species. And we're fairly confident
24 that you're looking at a carcinogen here.

25 PANEL MEMBER GILL: So in the past, if there's

1 data points of this type -- of data information of this
2 type, then that -- then that is considered a carcinogen
3 that should be looked at, correct, that that's what it is?

4 DR. BUDROE: Correct

5 PANEL MEMBER GILL: All right. So that's one
6 point. The other one is actually there is a lot
7 variability in the genotoxicity data that you see that is
8 presented both by you and by LyondellBasell, for example,
9 different points.

10 I couldn't understand -- I couldn't understand
11 the basis of why the variability was. And either it
12 doesn't show in the document. It just is a stated fact.
13 Is there away that OEHHA could explain that or not, by any
14 chance?

15 DR. BUDROE: I think that actually the
16 chromosomal TBA is pretty uniformly -- does not cause
17 chromosomal damage. All the DNA damage assays are
18 positive. It's the bacterial gene mutation data that is
19 equivocal, right.

20 But, I mean, the important thing with this is is
21 you're looking -- we're really looking at we're not trying
22 to demonstrate that TBA is a genotox carcinogen. What
23 we're doing is going to the IARC criteria. And one of the
24 requirements is that TBA not be genotoxic in order to be
25 considered to be -- it's an alpha-2u globulin mode of

1 action. And what we're really saying is there's not --
2 the data -- there's enough positive data that you can't
3 make that determination that TBA is nongenotoxic.

4 PANEL MEMBER GILL: Okay.

5 PANEL MEMBER ANASTASIO: I had a few comments,
6 mostly in terms of improving readability. So first, in
7 page Roman numeral small 3, the list of acronyms. I don't
8 know if MCF, molar conversion factor, is a State-mandated
9 acronym, but it would seem like molecular weight ratio
10 would be a better choice, right? That's the ratio of
11 molecular weights of TBA and TBAC. And, of course, that's
12 throughout the document.

13 Next one on page 1. So this is a complicated
14 document, because you've got, you know, 2 chemicals that
15 you're talking about, TBA and TBAC. And then within each
16 one you've got different types of tests and different
17 types of results. So I thought it would be helpful to
18 have a more specific kind of detailed outline scheme. You
19 know, you've got Roman Numerals I, II, III, but within
20 that you don't have anything.

21 So I thought it would be helpful to have a more
22 detailed outline, so I could understand where I was in
23 terms of what's TBA, what's TBAC.

24 DR. BUDROE: Okay. The Roman numeral I, II, and
25 III actually correspond to the other chemical summaries

1 that are in appendix B of the TSD. So that actually goes
2 back to a structure. But having some headings essentially
3 delineated a little bit better like, for example, cancer
4 bioassays on page 5 or metabolism pharmacokinetics, we can
5 do that.

6 PANEL MEMBER ANASTASIO: Right. And especially,
7 because, you know, you'll have metabolism or you'll have,
8 you know, carcinogenicity and you'll have it for TBA and
9 then you'll have it for TBAC. So being able to understand
10 without having to go back through the whole outline
11 structure to know where I am would be helpful.

12 Related to that, I felt like there were a number
13 of points where, as someone who is outside of the field,
14 it would have been helpful to have a sentence or two
15 explaining why a given issue was important. For example,
16 the alpha-2u, it took me towards the end of the document
17 before I understood why it mattered whether it was acting
18 through alpha-2u or not.

19 So when you first have, say, the alpha-2u
20 information, it would be useful to say, you know, this is
21 significant because if it's this, it matters, if it's
22 this, it's something else. So just a sentence or two to
23 orient the reader.

24 Let's see, page 21. Okay. So page 21 is the
25 alpha-2u that I just mentioned.

1 Page 29. So this is under Section 6,
2 Quantitative Cancer Risk Assessment. Again, I thought an
3 intro there would have been helpful, because you're kind
4 of mixing what did you do before, and then what are you
5 doing now with some different assumptions in terms of
6 inhalation fraction. So it would be helpful in this
7 portion to say, you know, these are the previous
8 calculations we did. These are the assumptions we used.

9 Now, we're going to change some of these
10 assumptions. And so based on the new assumptions, or at
11 least the new data, this is what we find to really guide
12 the reader through it a little bit better, especially in
13 that second paragraph where you talk about the 70 percent
14 fractional absorption, and the 100 percent metabolism of
15 TBAC to TBA. You indicate in that paragraph, you know,
16 these are old data. We're going to have updated values in
17 the next section, you know, better data. We have better
18 data than this, but this is what we did before. Again,
19 just to help orient the reader to understand what's going
20 to be changing.

21 Page 30, table 6, you've got the poly-3 corrected
22 tumor incidence. I thought it would be helpful to put the
23 not-corrected tumor incidence next to it, which is from
24 table 1, just so that the reader can see how things change
25 without having to flip back and forth.

1 And then page 34 --

2 PANEL MEMBER BLANC: You mean, having the actual
3 proportion might even strengthen that more.

4 PANEL MEMBER ANASTASIO: Yeah, that's a good
5 idea.

6 PANEL MEMBER BLANC: Have in the actual
7 proportion in a separate --

8 PANEL MEMBER ANASTASIO: Or the percentage.

9 PANEL MEMBER BLANC: -- column --

10 PANEL MEMBER ANASTASIO: Yeah.

11 PANEL MEMBER BLANC: -- or underneath it or
12 something, right?

13 PANEL MEMBER ANASTASIO: Right.

14 PANEL MEMBER BLANC: Those are just the raw
15 numbers.

16 PANEL MEMBER ANASTASIO: Right.

17 PANEL MEMBER BLANC: You'd have to do all the
18 algebra in your head or whatever.

19 PANEL MEMBER ANASTASIO: Right.

20 PANEL MEMBER BLANC: Long division.

21 (Laughter.)

22 PANEL MEMBER ANASTASIO: Page 34. So this is the
23 CSF. So you're going off the TBA oral exposure to
24 determine a TBAC inhalation CSF. And so the implicit
25 assumption is that an oral exposure to TBA has the same

1 efficacy or adverse effect as an inhalation of the
2 precursor. And is there any data for or against that?
3 Does the route of exposure matter?

4 DR. BUDROE: Potentially for any chemical, but we
5 have -- don't have any data that indicate that there's a
6 route -- a difference between routes, you know, that would
7 affect toxicity. So in the absence of the information
8 indicating that, we assume that you have equal toxicity
9 between the different routes.

10 PANEL MEMBER ANASTASIO: Yeah, so maybe just a
11 sentence that explicitly states that for that calculation.

12 PANEL MEMBER BLANC: Don't you need to say
13 something about your what assumption is about first-pass
14 metabolism in that case? I mean, don't you need to
15 actually explicitly say that?

16 DR. BUDROE: Yes, we will need to do that.

17 PANEL MEMBER ANASTASIO: And then my last comment
18 is something that Jesús brought up. There is nothing
19 about ambient concentrations of TBAC here, which again
20 sets kind of the significance when you take the unit risk
21 factor times the ambient concentration, you get some sense
22 of the cancer risk. So it would be helpful to have, you
23 know, whatever information is available from the
24 literature about what ambient concentrations of TBAC are.

25 DR. BUDROE: I don't think you have any.

1 PANEL MEMBER ANASTASIO: Okay.

2 DR. BUDROE: I mean, I really don't. There is
3 such a small data set for TBAC that -- I mean, because
4 just -- it's not used that much.

5 PANEL MEMBER ANASTASIO: Yeah. So that would be
6 helpful to know as well.

7 PANEL MEMBER ARAUJO: That review that I
8 mentioned, the Bus from Critical Review Toxicology has a
9 lot of data actually, tabulated and referenced. They say
10 that some of it is actually here from the ARB or reviewed
11 by the ARB. And I was surprised to see things like the
12 concentrations can vary a lot from ambient concentrations
13 that are in the order of 0.59 in Southern California --
14 0.59 parts per billion concentration of 2.8 micrograms per
15 cubic meter as a worst case TBAC air concentration.

16 It can go as high as 532,000 micrograms per cubic
17 meter in an occupational exposure of a personal brake shop
18 work space. And so there's a whole table. And you have
19 like different concentrations, so that the range is
20 humongous. It's very large.

21 DR. BUDROE: Okay. Well, those aren't actually
22 measured concentrations. Those are -- what ARD -- ARB did
23 was to create a series of scenarios, and say if we use
24 this much of this chemical, then here's what the
25 concentrations were going to be. But they didn't actually

1 go out and measure like what concentrations were at brake
2 shops using this stuff, or where industrial paints are
3 being applied use TBAC, because they haven't -- don't
4 really have those kind of opportunities. They're not
5 really using TBAC in those kinds of applications that much
6 at this point.

7 So this is all -- this is all essentially modeled
8 scenarios. And that's where we're getting these
9 concentrations from. And this is out of the 2000 --
10 january 2006 ARB document, Environmental Impact Assessment
11 of tertiary-butyl acetate.

12 PANEL MEMBER ARAUJO: Right, that's what I was
13 going to say. They also reference the AQMD, some
14 documents from the AQMD, and -- look at table 10 of that
15 review that I mentioned, and then see if it is the same
16 thing that you are talking about, because it --

17 DR. BUDROE: Right. Well, they mention this ARB
18 document and then they also mention some of the modeled
19 concentrations that South Coast Air Quality District did
20 in theirs, but those are all -- those are all modeled
21 scenarios. Those aren't actual measured concentrations.
22 So those are more worst case, this is as bad as it could
23 be if they used this much.

24 PANEL MEMBER ANASTASIO: John, are there
25 emissions data at all?

1 DR. BUDROE: I haven't seen any. I looked at the
2 hot spots inventory and there wasn't anything in there.
3 At this point, probably not.

4 PANEL MEMBER ANASTASIO: Even stating that would
5 be helpful. You know, pointing out some of these
6 knowledge gaps. That's all I have.

7 PANEL MEMBER RITZ: Well, I have very little. I
8 had actually the same problem with the kidney cancer, not
9 realizing what it really meant until I finally went --
10 came to page 20. And I think you might just want to take
11 a few of the paragraphs from the male rat kidney tumor
12 discussion to the front, so that when you're reading this,
13 you are actually knowing what this is about. And why the
14 alpha-2u is important.

15 And the only other note I have is about the
16 female thyroid. So the male kidney, that's one thing, you
17 know, the discussion is pretty thorough, but you have no
18 discussion why only the female thyroid would be affected.
19 Is there nothing known about this that this animal species
20 is strictly vulnerable or the females are?

21 I mean, it's very clear that thyroid cancer is
22 more common in females in humans as well. Why? It's not
23 clear. Sex hormones are a candidate, but nobody really
24 knows. So I'm just wondering does that need some
25 explanation?

1 DR. BUDROE: Do I have an answer for why it was
2 significant in females as compared to males? No.

3 There was a slightly elevated incidence in males
4 actually, but it wasn't statistically significant adding
5 the dose points. So we could check the literature to see
6 if there's anything out there that would suggest why
7 male -- females may be more sensitive than males, in
8 general, to thyroid cancer.

9 PANEL MEMBER RITZ: Yeah. It's just because it's
10 known that females -- female humans have a higher risk.
11 So that kind of tracks, but if it's just, you know, a
12 fluke of the animal species, maybe that would also be
13 important to know.

14 CHAIRPERSON KLEINMAN: Okay. Alan, do you have
15 any?

16 PANEL MEMBER BUCKPITT: I had a couple of issues,
17 one of which actually is that I agree with some of the
18 comments from LyonBasell[sic] on 2 of the assays for
19 genotoxicity. One is the AMS determination, and
20 personally I don't believe the data. I don't have good
21 proof of that, but unless they isolate the adduct and show
22 it by HPLC, AMS, any protein that's adducted in those
23 samples is going to show up on a very sensitive technique.
24 Any other material incorporated into amino acids is going
25 to show up as a positive.

1 And again, the technique itself is so sensitive,
2 that unless you're very specific about what you're
3 measuring, you're likely to be incorrect.

4 Okay. Remember that Jim Felton spent half of his
5 career doing these sorts of studies. He's one of the
6 authors of the letter. So again, you have a situation
7 here that you have a publication, and I know it's in the
8 peer-reviewed literature, that may not be correct.

9 The other issue relates to the
10 8-hydroxydeoxyguanosine. And I think if you go back in
11 Blair did a really nice study. It's got to be a long time
12 ago. But unless you have OCD when you're isolating the
13 DNA, you will get 8-hydroxydeoxyguanosine. So you have to
14 be extremely careful when those measurements are done to
15 get accurate data.

16 There's all sorts of literature out there. And
17 correct me if you think I'm wrong, Sarjeet, but there's
18 all sorts of data out there where people are showing, same
19 chemical, same amount, and they show 100-fold deviations
20 in the amount of 8-hydroxy that they derive from it. And
21 it's all based on whether they do the assay correctly,
22 carefully.

23 DR. BUDROE: All right. Well, is there anything
24 in the study outlined in the document that gives you
25 pause?

1 PANEL MEMBER BUCKPITT: I can't say, because you
2 don't know how careful people were in doing the assay. So
3 I do bring it up, because I think it would be -- I'd be
4 remiss in not saying something. It may be absolutely
5 correct, but I think there's a good chance that it may not
6 be. And that puts you in a very difficult position.
7 There's no way of knowing, unless you do the studies,
8 unless you have complete confidence in the person doing
9 this work. That's all I have.

10 PANEL MEMBER GLANTZ: But what should they --
11 other than like worry about the fact that every study has
12 potentially got problems, I mean, what are they going --
13 what should they do in terms of the report, other than
14 like say, gee, we worry about this.

15 PANEL MEMBER BUCKPITT: I mean, that's the
16 problem, Stan. I think to accept them on face value
17 without a comment saying that there have been some issues
18 in the analysis of these adducts by accelerator mass
19 spectrometry or the measurement of
20 8-hydroxydeoxyguanosine. I think that comment might be
21 enough to certainly satisfy, but I think there's a
22 significant chance that these studies are not.

23 DR. BUDROE: Okay. Well, with the AMS study, the
24 mass -- accelerator mass spec, we haven't altered the
25 document to say that that's a shortcoming of the study.

1 It would have been a better study if they'd actually done
2 adduct isolation.

3 PANEL MEMBER BUCKPITT: Yeah.

4 PANEL MEMBER GILL: Yeah, well the 2 studies are
5 different. The AMS one is actually easier to discount it
6 than the other ones, because AMS if they did not say what
7 it is, is a bit more problematic.

8 But you have stated comments, but it may be
9 vetted, may a bit more forceful a bit, but you have stated
10 it. Whereas, the other one is a bit more difficult
11 because we really do not know how they did it.

12 PANEL MEMBER BUCKPITT: Right.

13 PANEL MEMBER GILL: But the AMS one is a bit more
14 clearer, because there is no -- otherwise, they would have
15 said it if they analyzed the data.

16 PANEL MEMBER BUCKPITT: Well, I think Jim
17 actually had some instances where he measured just
18 radioactivity, which is what was done in this study. And
19 when he went back to look for specific adducts he couldn't
20 find them. Okay. So it's easy to get a false positive,
21 if you have anything contaminating in that -- that sample
22 that has radioactivity with it.

23 And it could be lipid, it could be protein. A
24 260 to 80 ratio of 1.8 is not proof that there isn't
25 protein in there. It wouldn't take much.

1 PANEL MEMBER BLANC: Just make a comment before
2 Dr. Ritz leaves. So in your previous document that we
3 heard the revised addition of today, you had received
4 feedback that unless you tested whether the difference
5 between the response in the males and the females was
6 actually different, you should pool them, if you recall.

7 DR. BUDROE: Yes.

8 PANEL MEMBER BLANC: So do you -- other than the
9 fact that you have a test for trend, which is significant
10 in the females and not in the males, if I just look at the
11 date, it's hard for me to believe that the data are
12 actually statistically different by sex. Do you have
13 reason to believe that they are?

14 And I think your test for trend will be more
15 substantive if the data were pooled, frankly, if you just
16 look at the numbers.

17 DR. BUDROE: That is something --

18 PANEL MEMBER BLANC: That your positive test for
19 trend is driven entirely by the high dose in the female
20 thyroid cancer. It's not -- there isn't a monotonic
21 increase in response by any means. And it will be a far
22 more convincing pool, because it will be 3 out of 60, 3
23 out of 60, 6 out of 60, 11 out of 60, if they were
24 combined.

25 DR. BUDROE: All right. So you're talking about

1 the mouse data -- mouse tumor data?

2 PANEL MEMBER BLANC: Yeah, where she asked why is
3 it just the females?

4 DR. BUDROE: We can take a look at that, and run
5 an analysis.

6 PANEL MEMBER BLANC: I mean to be consistent,
7 right?

8 CHAIRPERSON KLEINMAN: Yes.

9 PANEL MEMBER RITZ: Yeah. Otherwise, I think you
10 need an explanation why it's the females, not the males,
11 if you want to make that argument.

12 DR. BUDROE: We well definitely examine that
13 issue.

14 PANEL MEMBER GLANTZ: So I just have one --
15 before you run off, just I have one. So my last question
16 is, I mean, I've heard a lot of discussion about ways to
17 improve the way the report is written and the discussion,
18 but I haven't heard any criticism of the broad bottom-line
19 conclusion or the unit -- the actual unit risk number.
20 And so -- and this is, again, I'm not a toxicologist, but
21 the -- would I be correct to walk out of here and think
22 that while there's lots of improvements to the report,
23 that when this thing comes back to us we wouldn't expect
24 to see a change in the basic conclusion, the basic modes
25 of action, or the unit risk, or would some of these

1 criticisms maybe affect the unit risk calculation?

2 PANEL MEMBER ARAUJO: That was one of my first
3 comments. And it actually -- it does affect the values.

4 PANEL MEMBER GLANTZ: Okay.

5 PANEL MEMBER ARAUJO: But it's going to be --
6 it's going to take a little bit of time. Maybe I get
7 together with Cort and --

8 PANEL MEMBER GLANTZ: Okay. Well, I just was --
9 that was for my own benefit, but okay. Thank you. That's
10 helpful to know.

11 PANEL MEMBER BLANC: Can I just -- well, I have
12 another question, which is based on your initial back and
13 forth, this is a revision -- not a revision. This is a
14 revisiting of a previous unit cancer value?

15 DR. BUDROE: Not exactly. We did an informal
16 number for ARB, but it wasn't -- it didn't get run through
17 either the TAC process or the hot spots process.

18 PANEL MEMBER BLANC: Okay. And in that
19 previous -- how many years ago was that?

20 PANEL MEMBER ARAUJO: Ten years ago.

21 DR. BUDROE: 2006, over 10 years -- really over
22 10 years ago.

23 PANEL MEMBER BLANC: And, at that time, did you
24 conclude it was a carcinogen acting through this
25 metabolite --

1 DR. BUDROE: Yes.

2 PANEL MEMBER BLANC: -- or did you have some
3 fundamentally different conclusion?

4 DR. BUDROE: No, we concluded it was a carcinogen
5 because of the TBA data.

6 PANEL MEMBER BLANC: So, I mean, to me, that's
7 kind of useful in terms of consistency too. You know, I
8 just want to mention that. I don't know if it fits into
9 your -- it would fit more into your response to the
10 critique than it does fit into the document itself.
11 Although, I would say for full disclosure purposes, a
12 sentence which says, said formal -- this had previously
13 been -- this question had previously been addressed, but
14 not in a formal unit risk dose process.

15 CHAIRPERSON KLEINMAN: Well, there actually was a
16 cancer slope factor and a unit risk factor in 2006 in the
17 ARB document.

18 PANEL MEMBER BLANC: Or however you want to word
19 it, but I mean, to me, that's useful.

20 CHAIRPERSON KLEINMAN: Okay.

21 PANEL MEMBER ARAUJO: Could I just comment on
22 Alan's point of the uncertainty on some of the methods and
23 to assess the DNA damage? Right. So the -- out of the 7
24 criteria, OEHHA says that there is only one criteria that
25 is not met to consider these tumor and alpha-2

1 microglobulin remediated. And two of them that are not
2 completely met, but only one that is not met, which is
3 exactly that, the non-genotoxicity.

4 So let's say for -- that you were to discount in
5 that data, could you feel comfortable in the other data
6 that is provided in still saying that this does not meet
7 the criteria for non-genotoxicity? Based on -- the other
8 point would be the bacterial, and the other would be the
9 Comet assay, right?

10 PANEL MEMBER BUCKPITT: So those weren't without
11 issues, right, and they were discussed in the report, I
12 thought well discussed in the report. So it's -- you
13 know, you're essentially between a rock and a hard place.
14 This is -- your data are not --

15 PANEL MEMBER BLANC: Perfect.

16 PANEL MEMBER BUCKPITT: -- perfect.

17 DR. BUDROE: No, but that's not the only criteria
18 that TBAC --

19 PANEL MEMBER BUCKPITT: That's correct.

20 DR. BUDROE: -- TBA didn't meet. It also doesn't
21 meet the dose response similarity between the purported
22 MoA in the tumors.

23 PANEL MEMBER ARAUJO: But that is another
24 criteria. But as far as the non-genotoxicity, you're
25 really relying on this bacterial Comet assay and in vitro

1 data, right? I mean --

2 DR. BUDROE: Right. And there it's a question
3 of, you know, is there enough data there to really say
4 that it's not absolutely -- you know, stick to the point
5 that it's non-genotoxic. And like I said, I wouldn't
6 be -- we're not trying to show that this is a genotoxic
7 carcinogen. What we're saying is there's enough positive
8 data out there, and it may have its warts and, you know,
9 flaws, but there's enough out there that you can't really
10 come to the -- you can make the definitive conclusion that
11 TBA is non-genotoxic.

12 PANEL MEMBER ARAUJO: Yeah, so that's a good
13 point. Did you say it like that in the document, or just
14 in the response letter? So you can be confident there is
15 not enough data to say it is not genotoxic, but you don't
16 have enough data to say it is genotoxic. I understand.
17 And so if you don't -- I don't remember what actually they
18 say like that in the document, but that would be really
19 good to have it, basically phrased in that way.

20 DR. BUDROE: Okay. We didn't -- we address that
21 a lot more in response to comments than we did in the
22 document itself, so we can add some of that to the
23 document.

24 PANEL MEMBER BLANC: Does the Chair want to
25 entertain a motion?

1 CHAIRPERSON KLEINMAN: Well, I just wanted to
2 make sure that, you know, everybody has had an opportunity
3 to comment. And I don't see any other comments, so yes, I
4 will entertain a motion.

5 PANEL MEMBER BLANC: So my motion would be that
6 the document be revised in light of the comments made and
7 returned for a brief review by the leads, re-review.

8 PANEL MEMBER ARAUJO: Can I ask how we do -- do
9 we address in that unit of the values and the CSF and all
10 that, because I understand that we cannot discuss outside
11 this room in between reviewers or with --

12 PANEL MEMBER GLANTZ: No, that's not true. You
13 can meet with the staff. It's just that we can't have a
14 quorum of the Committee, meaning --

15 PANEL MEMBER ARAUJO: Can I discuss it with
16 Cort, for example.

17 PANEL MEMBER BLANC: Yes.

18 PANEL MEMBER GLANTZ: You could do that, yeah,
19 but you can't have a quorum. So we know there's a long
20 history of the leads working directly with the staff to
21 resolve these kind of issues before the report comes back
22 to the Committee. It's just we can't have a -- we can't
23 have a meeting of the Committee, or if we had 4 of us or 4
24 of us meeting with the staff, we can't do that.

25 But I guess my question --

1 PANEL MEMBER BLANC: They can't buy you lunch
2 either.

3 PANEL MEMBER GLANTZ: Yeah, that's right. They
4 can't even buy you a cup of coffee.

5 But the -- but my question for you, are you
6 saying that we tentatively accept the report subject to
7 this or --

8 PANEL MEMBER BLANC: I think we heard from Jesús
9 that it would be more comfortable that it come back to
10 us --

11 PANEL MEMBER GLANTZ: Okay. That's what --

12 PANEL MEMBER BLANC: -- but with be an expedited
13 review at that time, I would presume.

14 PANEL MEMBER GLANTZ: Okay. So is what your
15 suggesting that OEHHA work with the leads to get all this
16 fixed, and then it would come back to the full Committee
17 for a final action?

18 PANEL MEMBER BLANC: That's my motion.

19 PANEL MEMBER GLANTZ: Okay. I would support
20 that. Second that.

21 CHAIRPERSON KLEINMAN: Okay. We have a motion on
22 the table.

23 All in favor?

24 (Hands raised.)

25 (Dr. Ritz and Dr. Gill not present.)

1 CHAIRPERSON KLEINMAN: It passed unanimously,
2 In that case, I -- so we've done our due
3 diligence on this. We will have this document revised.
4 The leads will review the revisions, and then at the --
5 we'll bring it back at our next meeting for a brief
6 re-review.

7 PANEL MEMBER GLANTZ: That may be, you know,
8 depending on how -- whether or not there are any issues of
9 controversy, we might even be able to do that by an
10 Internet meeting, rather than having everybody have to
11 travel, because it doesn't sound to me like there's any
12 huge points of controversy.

13 PANEL MEMBER BLANC: So I think there are 2
14 questions. One, is there some time sensitivity to this
15 chemical for which there's virtually no use and no
16 exposure, but in a larger sense I would ask now, since
17 we've completed this part of the agenda, what our
18 anticipated coming projects are? It is somewhat
19 frustrating, and it's not your fault, that there had to be
20 such a lengthy response to a 70-page letter or whatever on
21 this document, which was actually the revision of an
22 existing cancer potency factor for non -- low exposure
23 chemical.

24 But might we expect something substantive in
25 terms of a public health-related chemical assessment?

1 DR. BUDROE: We have chemicals in the pipeline
2 that we're working on, but we don't have a -- I'd hate to
3 put us on the record as committing to bringing up a
4 specific chemical at the next meeting.

5 PANEL MEMBER BLANC: Heaven for bid, but will
6 there be -- should we anticipate that there might be a
7 specific chemical or two at the next meeting?

8 DR. BUDROE: We dearly want to bring at least one
9 new chemical to the Panel at the next meeting.

10 PANEL MEMBER GLANTZ: Well, you know, this brings
11 up a kind of broader point. This is the benefit of me
12 being on this Panel since the Pliocene age. But we
13 actually have had this discussion in the past about like
14 how are priorities set. And we did develop a
15 prioritization algorithm a long time ago. And it might be
16 worth at the next meeting having OEHHA come back with some
17 sort of, you know, logic of like what chemicals are coming
18 in what order with some rationale for why it's hose
19 chemicals.

20 Because I remember when I first joined this Panel
21 a very long time ago, we did coke oven emissions. And it
22 turned out there were no coke ovens in California. Now,
23 coke oven emissions are quite toxic actually. But
24 since -- but there weren't any coke -- and when we said to
25 ARB and OEHHA why are we doing coke oven emissions, they

1 said, well, we have the data. And that's when we did the
2 first prioritization document.

3 So I think it would be a good idea to sort of --
4 you know, I think it's been done 2 or 3 times over the
5 life of the Panel to really just come back and say we want
6 to make sure that we're bringing things here where there's
7 actually going to be some impact to make it worth all the
8 work by you guys and by us.

9 DR. BUDROE: Okay. Well, some of this has been
10 kind of on an ad hoc basis. Like, for example, TBAC, the
11 reason it came before the Panel is because ARB, and even
12 more so, the districts were interested in it there's been
13 a long -- Lyondell is -- it's been wanted to be used by
14 industry in applications for a while as a VOC substitute.

15 And eventually, the districts and ARB said you
16 need to get -- we need to have a number. This question
17 needs to be settled.

18 PANEL MEMBER GLANTZ: Okay. Well, I mean, I
19 think that's a legitimate point, you know. I don't think
20 this needs to be a completely academic process. But I
21 do -- I've had the same kind of frustration that Paul is
22 talking about. So I think it would be useful.

23 PANEL MEMBER BLANC: I didn't say -- I didn't say
24 frustrated. I just was curious.

25 PANEL MEMBER GLANTZ: Or the same curiosity that

1 Paul has.

2 (Laughter.)

3 PANEL MEMBER GLANTZ: But I think it would be
4 useful to, you know, come forward and say here's
5 how -- you know, here are the things that we're working
6 on, here's why, and to make the case that the -- I mean,
7 if it's a thing where a bunch of the air districts are
8 saying we're getting requests to permit this, and we want
9 a scientifically peer-reviewed number, I think that's
10 fine, you know.

11 And it may be, if this ends up being like
12 really -- it may be that there's not a lot of use now, but
13 there could be, so that wouldn't be bad. But I think that
14 having some sense of like what's in the pipeline and why,
15 and making sure that the important things are getting
16 addressed would be a -- there is a protocol that's been --
17 was developed and updated at least once. It might be
18 worth going and digging that out of the archives and
19 taking a look at it.

20 DR. BUDROE: Right. And I kind of remember that,
21 but that hasn't been updated, I know, for things like, for
22 example, the children's health.

23 PANEL MEMBER GLANTZ: Right.

24 DR. BUDROE: And I know we have a number of --

25 PANEL MEMBER BLANC: That's not true.

1 PANEL MEMBER GLANTZ: No, it was updated for the
2 children's health.

3 PANEL MEMBER BLANC: The children's health.
4 There was a -- we developed the first 10, and I don't
5 think we've gone through those 10. I would be really
6 shocked if we had. Maybe we have.

7 DR. BUDROE: There are some better memories out
8 there than mine.

9 PANEL MEMBER GLANTZ: Yeah. No, I was the lead
10 person on that one.

11 PANEL MEMBER BLANC: And, Mike, I would also ask
12 that in the interim, between now and our next meeting, if
13 you could reach out to the Department of Pesticide
14 Regulation. Has it been 5 years since we've had a
15 pesticide here? Four years?

16 (Laughter.)

17 PANEL MEMBER BLANC: Ten?

18 PANEL MEMBER GLANTZ: Thousands.

19 PANEL MEMBER BLANC: Anyway, you know.

20 CHAIRPERSON KLEINMAN: I agree that, you know, it
21 would be very useful to get, you know, some view of what
22 is being considered as being important. And if nothing
23 else, it will give us an opportunity to sort of do some
24 homework in advance figuring out which of us want to do
25 which chemical.

1 PANEL MEMBER GLANTZ: Yeah. And also, we did
2 have something to say about the prioritization.

3 No, in fact, as I recall, we first -- after the
4 coke oven emissions thing, there was a prioritization
5 document. And that was updated when the -- when the -- I
6 think it was SB 25 or something passed. And there were
7 10 -- the law required 10 compounds to be identified. And
8 then I think that that's true they have -- whatever
9 happened after that.

10 So I think if you look at those two documents,
11 and see what would it take to update them.

12 DR. BUDROE: Okay. Is my understanding correct
13 that the prioritization is actually an ARB process?

14 PANEL MEMBER GLANTZ: I don't remember.

15 It came -- I know that the documents officially
16 came to this Committee. Now, I don't remember who handed
17 them to us, but the documents exist. You know, I would
18 just go back and look at them.

19 CHAIRPERSON KLEINMAN: Going back to our TBAC
20 document, from what I've heard -- I haven't heard anything
21 that says we're asking for a revision of the cancer slope
22 factor or the unit risk factor.

23 PANEL MEMBER BLANC: I don't think that's what
24 Jesús said, he said it could change --

25 CHAIRPERSON KLEINMAN: Oh, okay.

1 PANEL MEMBER BLANC: -- in fact, so that's why we
2 had the resolution in the form that it did.

3 CHAIRPERSON KLEINMAN: Okay. If it did change.

4 PANEL MEMBER BLANC: It doesn't mean -- I'm not
5 anticipating an 80-slide presentation. That's just a
6 hint.

7 (Laughter.)

8 DR. BUDROE: Seventy?

9 PANEL MEMBER GLANTZ: That's 100 slides.

10 (Laughter.)

11 PANEL MEMBER BLANC: All right. You want a
12 motion to adjourn?

13 CHAIRPERSON KLEINMAN: Yeah, if there's other new
14 business, then --

15 PANEL MEMBER BLANC: I'll move that we adjourn.

16 PANEL MEMBER ANASTASIO: Second.

17 CHAIRPERSON KLEINMAN: We are adjourned.

18 (Thereupon the California Air Resources Board,
19 Scientific Review Panel adjourned at 3:30 p.m.)

20

21

22

23

24

25

1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, do hereby certify:

4 That I am a disinterested person herein; that the
5 foregoing California Air Resources Board, Scientific
6 Review Panel meeting was reported in shorthand by me,
7 James F. Peters, a Certified Shorthand Reporter of the
8 State of California;

9 That the said proceedings was taken before me, in
10 shorthand writing, and was thereafter transcribed, under
11 my direction, by computer-assisted transcription.

12 I further certify that I am not of counsel or
13 attorney for any of the parties to said meeting nor in any
14 way interested in the outcome of said meeting.

15 IN WITNESS WHEREOF, I have hereunto set my hand
16 this 9th day of January, 2017.

17
18
19
20 

21
22
23 JAMES F. PETERS, CSR
24 Certified Shorthand Reporter
25 License No. 10063