

MEETING
STATE OF CALIFORNIA
ENVIRONMENTAL PROTECTION AGENCY
AIR RESOURCES BOARD
SCIENTIFIC REVIEW PANEL
ON TOXIC AIR CONTAMINANTS

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY
SIERRA HEARING ROOM, 2ND FLOOR
1001 I STREET
SACRAMENTO, CALIFORNIA

TUESDAY, JANUARY 23, 2018

9:01 A.M.

JAMES F. PETERS, CSR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

Michael T. Kleinman, Ph.D., Chairperson

Cort Anastasio, Ph.D.

Jesús A. Araujo, M.D., Ph.D.

Paul D. Blanc, M.D.

Alan R. Buckpitt, Ph.D.

Stanton A. Glantz, Ph.D.

S. Katharine Hammond, Ph.D.

Joseph R. Landolph, Jr., Ph.D.

Beate R. Ritz, M.D., Ph.D.

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Jim Behrmann, Panel Liaison

Mr. Vernon Hughes, Chief, Community Assessment Branch

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD
ASSESSMENT:

Dr. Lori Lim, Senior Toxicologist

Dr. David Ting, Chief, Pesticide and Environmental
Toxicology Branch

A P P E A R A N C E S C O N T I N U E D

REPRESENTING THE DEPARTMENT OF PESTICIDE REGULATION:

Dr. Terrell Barry, Lead Exposure Assessor

Dr. Shelley DuTeaux, Chief, Human Health Assessment Branch

Dr. Svetlana Koshlukova, Senior Toxicologist, Risk
Assessment Section

Dr. Eric Kwok, Senior Toxicologist, Exposure Assessment

Mr. Randy Segawa, Special Advisor

Dr. Marilyn Silva, Lead Risk Assessor

Dr. Marylou Verder-Carlos, Assistant Director

I N D E X

PAGE

1. Update to the Panel on Assembly Bill 617. 3

Assembly Bill 617, approved by the Governor in 2017, requires the California Air Resources Board (CARB) to develop a uniform statewide system of annual reporting of emissions of criteria air pollutants and toxic air contaminants; a monitoring plan; and a statewide strategy to reduce emissions of toxic air contaminants and criteria pollutants in communities affected by a high cumulative exposure burden. The monitoring plan and strategy are to be developed in consultation with the Panel, air districts, the Office of Environmental Health Hazard Assessment, environmental justice organizations, affected industries, and other interested stakeholders. CARB staff will provide the Panel with an update on the implementation of AB 617.

2. Review of the draft report "Draft Evaluation of Chlorpyrifos as a Toxic Air Contaminant: Risk Characterization of Spray Drift, Dietary, and Aggregate Exposures to Residential Bystanders" (December 11, 2017) 11

Department of Pesticide Regulation (DPR) staff will present their draft report proposing to identify and list chlorpyrifos as a toxic air contaminant pursuant to Food and Agricultural Code sections 14022-14023. Chlorpyrifos is a chlorinated organophosphorus ester used as an insecticide, acaricide, and miticide. The draft report is available at the following DPR web page under the Risk Assessment Documents tab:

3. Consideration of administrative matters.

The Panel may discuss various administrative matters and scheduling of future meetings.

Adjournment 208

Reporter's Certificate 209

1 P R O C E E D I N G S

2 CHAIRPERSON KLEINMAN: Good morning. We're going
3 to call this meeting to order, but we're going to delay
4 about five more minutes while everybody's getting settled.
5 And we are waiting for two of our panel members, who may
6 be delayed. So we will be back on in about, let's say, in
7 five minutes.

8 (Off record: 9:31 a.m.)

9 (Thereupon a recess was taken.)

10 (On record: 9:34 a.m.)

11 CHAIRPERSON KLEINMAN: Good morning. Now that
12 our panel is assembled I'd like to call this meeting to
13 order. I want to welcome you everybody to this meeting of
14 the Scientific Review Panel on Toxic Air Contaminants.
15 And I want to just -- also we are going to have viewers on
16 the webcast watching. So welcome to them as well.

17 A couple of administrative items. For the people
18 in the room, there are restrooms and drinking fountains
19 outside of the room to the left. Should there be a fire
20 alarm, please exit down the stairs and proceed outside the
21 building.

22 Most importantly, the panel will really
23 appreciate it if you set all your communicators to a
24 silent mode.

25 And now I'd like to just go around the table and

1 have the panel members introduce themselves, starting with
2 Alan.

3 PANEL MEMBER BUCKPITT: Good morning. I'm Alan
4 Buckpitt. I'm retired from the School of Veterinary
5 Medicine at UC Davis.

6 PANEL MEMBER GLANTZ: I'm Stan Glantz. I'm a
7 professor of medicine at UCSF.

8 PANEL MEMBER HAMMOND: I'm Kathy Hammond,
9 Professor of Environmental Health Sciences at UC Berkeley
10 School of Public Health, and associate dean for academic
11 affairs there.

12 PANEL MEMBER ANASTASIO: I'm Cort Anastasio. I'm
13 a professor in the Department of Land, Air, and Water
14 Resources at UC Davis.

15 PANEL MEMBER LANDOLPH: I'm Joe Landolph,
16 Associate Professor in Microbiology and Pathology and
17 Toxicology at USC and a member of the USC Norris
18 Comprehensive Cancer Center.

19 PANEL MEMBER ARAUJO: I am Jesús Araujo,
20 Associate Professor of Medicine and Environmental Health
21 Sciences at UCLA.

22 PANEL MEMBER RITZ: Dr. Beate Ritz, Department of
23 Epidemiology, Environmental Health Sciences and Neurology
24 at UCLA and a member of the Center for Occupational and
25 Environmental Health at UCLA.

1 PANEL MEMBER BLANC: Paul Blanc, University of
2 California at San Francisco in Department of Medicine and
3 Chief of the Division of Occupational and Environmental
4 Medicine.

5 CHAIRPERSON KLEINMAN: Thank you. And I'm
6 Michael Kleinman. I'm at University of California Irvine.
7 And I'm the Chair of the Panel.

8 So this morning, there are two agenda items that
9 we'll be going through. The first item will be a short
10 briefing by the Air Resources Board to the Panel about
11 implementation of Assembly Bill 617. And then the second
12 item will be the Panel's review of the draft evaluation
13 report on chlorpyrifos from the Department of Pesticide
14 Regulation.

15 The Assembly Bill 617 requires that the Air
16 Resources Board, among other things, develop a monitoring
17 plan and emission reduction strategy for California's most
18 polluted communities. The Panel's one of several groups
19 to be consulted in the implementation of this significant
20 legislation signed by the governor last year.

21 Today, Vernon Hughes, Chief of the Community
22 Assessment Branch of the ARB's Office of Community Air
23 Protection will brief of on ARB's plans.

24 Mr. Hughes, thank you very much for being here
25 today; and I'll turn it over to you.

1 MR. HUGHES: All right. Thank you. Thank you
2 very much for having us today. Again, we'll be giving an
3 overview of the implementation status to date.

4 As you all are probably aware, AB 617 passed in
5 the summer, authored by Assembly Member Cristina Garcia,
6 and it fundamentally transforms how we do community-level
7 planning for air quality, especially in communities that
8 experience a greatest, highest cumulative exposure
9 burdens. Specific the legislation sets out a number of
10 planning framework elements including community-level air
11 monitoring; the development of a monitoring plan; a State
12 strategy and community-specific emission reduction plans;
13 an expedited schedule for the installation of the cleanest
14 controls on facilities, so that goes back to BARCT and
15 TBACT type of controls; enhanced requirements for the
16 reporting of emissions; and increased penalty provisions
17 for polluters, as well as grant -- mix available grant
18 funds for communities and for early action items.

19 To implement the program, the California Air
20 Resources Board has established the Community Air
21 Protection Program in the Office of Community Air
22 Protection, which I'm a branch chief there. And the
23 legislation sets ambitious implementation schedules to be
24 achieved by October 1st, 2018; and that includes
25 identifying impacted communities, establishing the

1 criteria for air monitoring and local emission reduction
2 plans, as well as developing statewide strategies for
3 reducing emissions.

4 It also gives air districts specific roles, so
5 it's not only Air Resources Board implementing; the air
6 districts play a very important role especially in
7 implementing the action plans called for in the bill. And
8 today, we've been working very closely with the air
9 districts, with CAPCOA and air districts on working
10 through as partners how we move forward with the
11 implementation.

12 We've also had a significant level of outreach to
13 community groups, environmental groups, and regulated
14 industries. So lots of meetings. And I'll touch on the
15 scale -- or our scope of outreach that we've done to date
16 as well in a minute. But based on the outreach to date,
17 we've put together two documents that provide staff's
18 initial proposals on forming the program elements. The
19 first one is called the Community Air Protection Program
20 framework document as a concept paper. And then also a
21 process and criteria for 2018 community selections. So
22 part of the bill requires a process for identifying
23 communities for action plans and monitoring; and that
24 document covers the process for selecting the communities.

25 The program framework is still in the early

1 stages, so these documents are -- it's really designed as
2 a mechanism to seek continued feedback once we release
3 them. They'll go out early February, both the documents
4 will go out for review and feedback. And we'll discuss
5 these papers also in three summit meetings that we're
6 going to be having in -- all-day summits that we're going
7 to be having in February. And those summits will be
8 essentially a morning session that covers the broader
9 program and then break-out sessions that cover more
10 specific elements of the programs. We plan to get into a
11 fair amount of detail in those summits.

12 AB 617 also prescribes a certain level of
13 outreach in terms of consultation by requiring CARB to
14 consult with specified stakeholders. Those stakeholders
15 include this panel as one of the groups; air districts;
16 office of Environmental Health Hazard Assessment, or
17 OEHHA; environmental justice organizations; affected
18 industries and others.

19 In response to those requirements for the
20 consultation, CARB's put together a multi-stakeholder
21 consultation group of more than 20 stakeholders. And
22 we'll seek input from the consultation group through the
23 consul -- through the consultation group we'll seek
24 consultation. Just because of the short time frames that
25 we're under, that's an efficient way to bring all these

1 folks together and get their input and feedback.

2 Dr. Kleinman is one of the members of the
3 consultation group, and it's going to be chaired by
4 Dr. Balmes, one of CARB's board members.

5 And the first meeting of the group is scheduled
6 for the end of this month. So we look forward to this
7 committee's and the other members of the consultation
8 group, their feedback on our program.

9 As I mentioned, we've had a significant amount of
10 outreach to date in the program. We've had a core
11 starting with the board meeting in October. Afterwards we
12 had a series of four informational meetings throughout the
13 state on the program and over 50 discussions with
14 community residents, air districts, environmental justice
15 organizations, and other stakeholders.

16 Some of the themes that we heard during this
17 public outreach process, they're certainly reflected in
18 the concept paper and the papers that are going out early
19 February. But we've heard an interest for a ground-up
20 community-based approach. The communities really want to
21 be involved as these programs are put together, both for
22 monitoring and emission reduction programs. They're
23 interested in steering committees, being involved in
24 steering committees. Also a desire for increased
25 monitoring, just to better understand what's happening of

1 course at the neighborhood level.

2 And establish criteria -- the criteria for
3 setting up both the monitors in communities, so that
4 they're run in ways that the community certainly
5 understands the results, and it can be used -- the results
6 can be used for actions directly.

7 They're interested in data transparency and
8 really understanding -- again, being able to interpret the
9 data themselves, if there are any sort of adjustments to
10 the data, for corroborating the data say against federal
11 reference methods, et cetera, they want to understand that
12 and just be aware of how the data's processed. So a very
13 transparent data stream is of interest.

14 And they've mentioned, you know, the term -- or
15 coined the term "democratization of data." They're
16 certainly interested too in being involved in deploying
17 the networks based upon methodologies that can be
18 standardized.

19 They also want to focus on immediate -- in terms
20 of emission reduction programs, communities that the
21 nature of the problem is well understood. There's been a
22 lot of studies to date and in certain communities, and
23 they're very interested in getting action, where action
24 could be taken and the data support those actions.

25 Incentive funding programs. They're interested

1 in programs that can help small businesses that are part
2 of the community to support their efforts to reduce
3 emissions. Some of those businesses have a hard time
4 turning over older equipment; and so having a funding
5 mechanism to facilitate turning over the equipment for
6 those small businesses is of interest.

7 And then of course, along with emission reduction
8 strategies, typical of statewide strategies and air
9 districts, they're also interested in best practices
10 transportation strategies and routing of vehicles. So
11 engaging local, city, and county governments as well;
12 they're very interested in bringing not only state and
13 local air districts but also the city and county
14 governments together.

15 In terms of outreach moving forward, the
16 community meetings, workshops, and all-day summits,
17 they're going to continue in the future here. In February
18 the 22nd, we're going to have a -- one of the summits that
19 I mentioned earlier in Oakland. The 27th in Bakersfield,
20 and the 28th in Riverside. So again, those summits will
21 focus around these concept papers, having some very
22 detailed discussion of the contents of the papers. We're
23 looking for public feedback in their summits.

24 In March we have an informational board update
25 where we're going to go over the status of the program and

1 community selection, et cetera.

2 Release of the draft framework document will come
3 in May. So the concept papers we'll -- and feedback we
4 get from the public will feed into the broader, larger
5 concept paper, and that framework document in May they'll
6 pull all the information together to develop a statewide
7 strategy and monitoring plan.

8 And in June we'll have workshops to workshop that
9 larger document.

10 And then in September, of course, we have the
11 board meeting to consider adoption of the framework.

12 That ends the status I guess to date on
13 implementation. So thank you.

14 CHAIRPERSON KLEINMAN: Well, thank you very much,
15 Mr. Hughes.

16 I'd like to just ask if the panel has any
17 questions.

18 All right. I just want to mention that this
19 whole idea of the community involvement I think is
20 terrific. There's a lot of opportunity to both, you know,
21 get a better understanding of what is happening in key
22 communities and community perception of air quality and
23 other issues will feed back to, you know, both the
24 regulators and the scientific community so that we have a
25 better feeling for what is really important to people.

1 MR. HUGHES: Um-hmm.

2 CHAIRPERSON KLEINMAN: -- out there at the ground
3 level. So I'm very happy that this program is stepping
4 up, and I'm looking forward to participating in it.

5 Thank you.

6 Kathy.

7 PANEL MEMBER HAMMOND: I have just one quick
8 thing. I appreciate this very much -- the presentation
9 very much and the work you're doing. That's great. I'm
10 just wondering if we can get some of those dates and some
11 of that information in writing.

12 MR. HUGHES: Sure. Yeah, I can send it to Jim
13 Behrmann --

14 PANEL MEMBER HAMMOND: Great. Thank you.

15 MR. HUGHES: -- with a list of dates, sure.

16 PANEL MEMBER HAMMOND: Thank you.

17 CHAIRPERSON KLEINMAN: All right. Well, again
18 thank you very much, Mr. Hughes.

19 MR. HUGHES: You're very welcome. Thank you for
20 having us.

21 CHAIRPERSON KLEINMAN: Now we'll move on to our
22 second agenda eye, which is the evaluation of chlorpyrifos
23 as a toxic air contaminant.

24 The Department of Pesticide Regulation
25 distributed a draft evaluation of chlorpyrifos -- or it's

1 easier to say CPF -- as a toxic air contaminant to the
2 Panel on December 11th of 2017, and then followed it with
3 an orientation presentation at our December 13th SRP
4 meeting. DPR followed up that meeting by providing the
5 panel with a bibliography of 308 references and the
6 complete 2014 and 2016 U.S. EPA risk assessments. And if
7 you look around the table, you'll see that we're all
8 burdened by many hundreds of pages of documentation.

9 DPR staff have also met with several individual
10 panel members and they'll be reporting back at this public
11 meeting later.

12 The next step in the evaluation of C -- sorry.

13 The next in the evaluation of chlorpyrifos as a
14 toxic air contaminant is a peer review by the Scientific
15 Review Panel, or SRP. The Toxic Air Contaminant Act is a
16 statutory framework for identification, evaluation, and
17 control of chemicals identified as toxic air contaminants.
18 And the SRP today will review the DPR risk assessment to
19 determine if it's seriously deficient based on a review of
20 scientific data, the procedures and the methods used to
21 support the assessment's conclusions.

22 Today we'll hear the technical presentation from
23 DPR. Then OEHHA will present their findings of the draft
24 TAC doc evaluation. And this will be followed by a
25 discussion of six charge questions that DPR specifically

1 asked the Panel to address.

2 Because of the complexity of the CPF database and
3 the risk assessment, which as you can imagine goes on
4 for -- in great detail, the Panel may take more than this
5 meeting, and in all likelihood will take more than one
6 meeting, to discuss all six charge questions and to decide
7 whether the report is not seriously deficient, which is
8 the requirement of the State law.

9 If the Panel decides that the report is not
10 seriously deficient, we'll then submit written findings to
11 the director of DPR, and here she will -- or he will
12 decide -- sorry -- if chlorpyrifos will be listed as a
13 toxic air contaminant. If the Panel finds serious
14 deficiencies, then we will return the report to the DPR
15 director for revision.

16 As a reminder to everyone, including me, please
17 speak directly into your microphones; and this is for the
18 benefit of the court reporter and also for the listeners
19 on the webcast.

20 And with that, I'd like to turn the meeting over
21 to Dr. Shelley DuTeaux, the Branch Chief for Human Health
22 Assessment at the Department of Pesticide Regulation, who
23 will begin the presentation.

24 And we're going to have a short recess while
25 we're configuring the various communication devices.

1 (Off record: 9:54 a.m.)

2 (Thereupon a recess was taken.)

3 (On record: 9:56 a.m.)

4 CHAIRPERSON KLEINMAN: Thank you very much for
5 your patience. And I think we're now ready to begin the
6 presentation.

7 (Thereupon an overhead presentation was
8 Presented as follows.)

9 DR. DuTEAUX: Thank you, Chair Kleinman and
10 members of the panel. Again, my name is Dr. Shelley
11 DuTeaux. I'm the Branch Chief for Human Health Assessment
12 of the Department of Pesticide Regulation. We're very
13 pleased to be here today to continue on our discussion of
14 chlorpyrifos following the overview presentation of the
15 December meeting.

16 As you mentioned, Dr. Kleinman, we have a huge
17 database. This is a big undertaking. So I realize there
18 will be a lot of questions. But I did want to ask the
19 Chair prior to starting our presentation, if you wanted to
20 delay discussion and questions until after we talk and
21 OEHHA gives their findings, and then open the discussion,
22 or did you want us to take questions during this
23 presentation?

24 PANEL MEMBER GLANTZ: I'd like to make an
25 alternative suggestion.

1 I think it would be -- I mean, if the Panel
2 doesn't want to do this, it's okay. But I think it would
3 be useful to just go around the panel and hear what
4 questions we have so that you can focus the presentation
5 on the big issues, you know. I think that -- because in
6 reading through all of this, I mean I had some fairly
7 foc -- I mean, you know, I think the issues are pretty
8 clear at least from my perspective. So, you know, there's
9 a lot of issues in here that are probably not
10 controversial. And, you know, to just have them know kind
11 of what we're wondering about so they can be, you know,
12 kind of focusing on those issues in the presentation.
13 That would be my preference of that's okay.

14 I mean we already -- I was one of the people who
15 talked to the DPR people in advance, so they kind of know
16 what I'm going to ask them anyway.

17 But I mean what do people think about that? Bad
18 idea? Good idea?

19 Doesn't work?

20 Okay. Never mind.

21 CHAIRPERSON KLEINMAN: Yeah, I think that because
22 there is also -- not only the people on the Panel but
23 there's a wider audience involved, I think it would be
24 best to have -- leave them on the table --

25 PANEL MEMBER GLANTZ: Okay. Never mind.

1 CHAIRPERSON KLEINMAN: -- and then go from there.
2 But in terms of I think the best flow would be to
3 have the DPR presentation followed by the OEHHA findings,
4 which puts everything out there, and then we will start to
5 focus on the charge questions.

6 PANEL MEMBER BLANC: Well, actually I would say
7 that you should trust us that if we have a question that
8 makes it impossible to understand what it is you're
9 presenting, that we'll break in with those questions.
10 Because 120 slides later, for us to remember what the
11 question was that we just lost you on, is probably a
12 disservice to everybody.

13 DR. DuTEAUX: You'll be grateful then that we
14 only have 50 slides.

15 PANEL MEMBER BLANC: OEHHA probably has 50 also.
16 (Laughter.)

17 DR. DuTEAUX: 20 slides.

18 PANEL MEMBER BLANC: Right. But you get the
19 point?

20 DR. DuTEAUX: Yes. Thank you.

21 CHAIRPERSON KLEINMAN: Well, I think, you know,
22 in terms of this if there are burning questions as the
23 presentation comes up, I think it would be best to deal
24 with those, you know, as they arise. But hopefully we'll
25 still be able to get through this.

1 DR. DuTEAUX: Great. Thank you.

2 Okay. Then we'll go ahead and proceed.

3 --o0o--

4 DR. DuTEAUX: Joining me today I have a
5 distinguished group of colleagues joining me from the
6 Department of Pesticide Regulation. Several of the
7 scientists will be aiding in answering questions and
8 clarifying, as well as some executive staff that are also
9 here. So let me go ahead and introduce them.

10 First of all is Dr. Marilyn Silva. She's the
11 lead risk assessor for this document.

12 Dr. Terrell Barry is the lead exposure assessor.

13 Carolyn Lewis is a research scientist III with
14 our department.

15 Dr. Svetlana Koshlukova, our senior toxicologist
16 in the risk assessment section.

17 Dr. Eric Kwok, our senior toxicologist with the
18 exposure assessment section.

19 And also aiding us with additional questions if
20 need be is Dr. Andrew Rubin, staff toxicologist; Mr. Randy
21 Segawa, special assistant; and Mr. Jesse Cuevas, our
22 Assistant Director.

23 Thank you all for being here.

24 --o0o--

25 DR. DuTEAUX: So today's presentation I'm going

1 to start by giving a background on pesticide toxic air
2 contaminants. Because this panel hasn't had one of these
3 come in front of them for about eight years or so, it's
4 good again to go over some of the information had we
5 shared in December.

6 Then I'll go over the steps in evaluating
7 chlorpyrifos as a toxic air contaminant, or otherwise
8 known as TAC. I might use that acronym throughout the
9 presentation. We'll give an overview of DPR's assessment,
10 which you received, 278 pages plus additional documents.

11 And start with discussion of questions from the
12 Panel and charge questions 1 or 2. And as Chair Kleinman
13 said, if we get through the rest, then that will be great.

14 --o0o--

15 DR. DuTEAUX: But first of all a background on
16 pesticide toxic air contaminants, or pesticide TAC.

17 DPR's authority is cited in the Food and
18 Agricultural Code and, as mentioned before, requirements
19 for the TACs are in sections 14021 and 14027. And it is
20 defined much like a regular air contaminant in that it's
21 an air pollutant that may cause or contribute to an
22 illness -- oh, sorry -- an increase in mortality or an
23 increase in serious illness which may pose a present or
24 potential hazard to human health.

25 --o0o--

1 DR. DuTEAUX: In consultation with OEHHA and ARB,
2 DPR shall -- sorry, let me spell those out for you -- the
3 Office of Environmental Health Hazards Assessment and the
4 Air Resources Board -- DPR, the Department of Pesticide
5 Regulation, shall evaluate the potential hazards and
6 health effects of pesticides that may be determined to be
7 a TAC.

8 And in consultation with OEHHA, DPR prepares a
9 report of health effects on the pesticide, which you have
10 in front of you. This report addresses the availability
11 and quality of the data on health effects, potency, mode
12 of action and other relevant biological factors, an
13 estimate of the levels of exposure that may cause or
14 contribute to adverse health effects, and the range of
15 risks to humans resulting from current or anticipated
16 exposures.

17 --o0o--

18 DR. DuTEAUX: To date - this is a timeline of the
19 steps we've taken thus far - we first released a draft
20 chlorpyrifos risk assessment document, or RCD, in December
21 2015. Then we received technical comments from OEHHA,
22 ARB, and Dow AgroSciences. We evaluated and assessed
23 chlorpyrifos as a toxic air contaminant based on this 2015
24 draft. We incorporated pertinent technical comments. And
25 we included expanded analyses of new and additional data,

1 including developmental and cancer epidemiology, in vitro
2 and in vivo results, human exposure and illnesses,
3 pesticide use, mechanism of the pesticide, PBPK-PD
4 modeling, air draft modeling, and so on and so forth.

5 Then in August 2017, we released our revised
6 draft evaluation for chlorpyrifos as a toxic air
7 contaminant. And we also released formal responses to the
8 technical comments we received from OEHHA, ARB, and Dow.

9 --o0o--

10 DR. DuTEAUX: In September, just four months ago,
11 we presented a draft evaluation overview to our Pesticide
12 Registration and Evaluation Committee; and we opened a
13 45-day comment period.

14 During that time, the public comment period, and
15 the months following, we released a revised draft of the
16 Evaluation of Chlorpyrifos as a Toxic Air Contaminant. It
17 now incorporates pertinent technical comments received
18 during the public comment period and an expanded analysis
19 of new and additional data including developmental
20 neurotoxicity in vivo results in animals.

21 We also released responses to the technical
22 public comment and additional comments we received from
23 Dow.

24 And we distributed the latest evaluation, with
25 all of those comments, to this Panel.

1 --o0o--

2 DR. DuTEAUX: We received, as did you, OEHHA's
3 findings in December. Then we followed up with an
4 orientation presentation on December 13th. And we
5 followed up with the Panel with supporting -- additional
6 supporting materials that were requested. On the 17th we
7 released our response to OEHHA's findings.

8 And all of these documents that I mentioned are
9 available on line.

10 --o0o--

11 DR. DuTEAUX: So here we are at the first SRP
12 meeting to review the data. And as Chair Kleinman
13 mentioned, the Panel shall review the scientific data on
14 which the report is based, the scientific procedures and
15 methods used to support the data, and the conclusions and
16 assessments on which the report is based; and determine if
17 the report is seriously deficient.

18 --o0o--

19 DR. DuTEAUX: So on to the evaluation of
20 chlorpyrifos as a toxic air contaminant.

21 --o0o--

22 DR. DuTEAUX: So chlorpyrifos is a very old
23 chemical. We have data going back -- I think one of the
24 earliest reports we saw was 1948. It is a chlorinated
25 organophosphorus ester, and it's manufactured by Dow as an

1 insecticide, acaricide, and miticide in the structure as
2 shown here.

3 --o0o--

4 DR. DuTEAUX: It was first registered in 1965,
5 meaning that there was plenty of data on its R&D prior to
6 that.

7 In December 2000, EPA reached an agreement to
8 halt manufacture of chlorpyrifos for nearly all
9 residential uses.

10 And in March 2001, EPA registration was canceled
11 for indoor residential products except those that are
12 containerized baits in child-resistant packaging.

13 Outdoor residential products were canceled except
14 for fire ant mound treatment by licensed applicators or
15 mosquito control by public health agencies.

16 And in December 2002, all retail sales were
17 stopped.

18 However, there's currently a large amount of
19 agricultural uses in the United States that are registered
20 by EPA including on fruits, vegetables, tree nuts, and
21 grain crops.

22 --o0o--

23 DR. DuTEAUX: In California specifically we have
24 48 products that are actively registered. And the major
25 uses in California also mirror those of the United States,

1 including nut trees, fruits and vegetables, and grain
2 crops.

3 We also have several non-production agricultural
4 uses allowed in the State including golf course turf --
5 excuse me -- industrial sites, greenhouse and nursery
6 production, see treatments, sod farms, and wood products.

7 We also allow it to be used for cattle ear tags,
8 again roach bait in childproof containers in homes and
9 sewer manholes, for fire ant control, and again for public
10 health control of mosquitos.

11 We also have additional restrictions than EPA
12 does, so we have it listed as a California restricted
13 material.

14 --o0o--

15 DR. DuTEAUX: The major geographic use areas in
16 California include the Central Valley, the Central Coast,
17 and Imperial County.

18 It's used year-round, but peak use is usually in
19 the summertime, depending on weather.

20 Allowed applications include aerial, air blast,
21 ground boom, chemigation, and others.

22 --o0o--

23 DR. DuTEAUX: So this is the total pounds of
24 chlorpyrifos used in California agricultural production
25 since 2011. You'll see that the draft number here for

1 2016, which needs to be finalized in our PUR report, our
2 pesticide use registry database report; so 2016 is a draft
3 number, but you can see a trend in downward use of
4 chlorpyrifos across the State.

5 --o0o--

6 DR. DuTEAUX: For human illnesses and exposure
7 reports, California Department of Pesticide Regulation has
8 a robust program, and they track these reported incidents.
9 From 20 -- 2004 to 2014 there were 246 cases of pesticide
10 exposure from 84 episodes involving chlorpyrifos. And the
11 majority of those cases were either due to drift, residue
12 exposure, or ingestion - which was largely intentional
13 unfortunately. Only 5 percent of ingestion was
14 accidental.

15 PANEL MEMBER BLANC: That's an error on the
16 slide?

17 DR. DuTEAUX: No, ingestion -- the majority of
18 cases includes ingestion. Only 5 perc -- oh, you're
19 absolutely right. I apologize. 5 percent of the majority
20 of cases was ingestion, and it was largely accidental.
21 But there are cases of intentional ingestion as well for
22 suicide -- attempted suicide.

23 The --

24 PANEL MEMBER HAMMOND: I'm sorry. I don't
25 understand what you just said. Could you say that again.

1 DR. DuTEAUX: Okay. Thank you. I will clarify.

2 Ingestion cases made up 5 percent of the total
3 cases. Of those ingestion cases, they were largely
4 accidental. Usually that comes in the case of a pesticide
5 being poured into a nonlabeled container, sometimes a
6 water bottle or something else.

7 But there are cases of intentional ingestion as
8 well.

9 PANEL MEMBER HAMMOND: And then I have another
10 question, just a clarification of what you're talking
11 about here.

12 You keep calling these cases of exposure. Are
13 they cases --

14 DR. DuTEAUX: Yes.

15 PANEL MEMBER HAMMOND: Are they cases of
16 illnesses or...

17 DR. DuTEAUX: There's a definition that our
18 Worker Health and Safety Branch uses to define what a
19 case -- an illness report and an incident and an episode
20 is.

21 I don't have those definitions right with me.
22 But I can ask Randy or Jesse if they'd like to clarify.

23 DPR SPECIAL ADVISOR SEGAWA: Good morning. I'm
24 Randy Segawa, special advisor with DPR.

25 So, yes, DPR has a pesticide illness surveillance

1 program, has had one for several decades now. And so we
2 track illnesses due to pesticides. And so in this case we
3 are talking about actual acute illnesses. And that's what
4 we're referring to when we say cases.

5 PANEL MEMBER HAMMOND: Okay. So I think that
6 that's another error in the slide. It's not 246 cases of
7 exposure but of reported illness; is that correct?

8 DPR SPECIAL ADVISOR SEGAWA: Correct.

9 PANEL MEMBER HAMMOND: I think that's an
10 important distinction.

11 CHAIRPERSON KLEINMAN: So each case is an
12 individual or a group --

13 DPR SPECIAL ADVISOR SEGAWA: Correct. And so
14 there are two -- in this case there are 246 individuals
15 that became ill due to chlorpyrifos exposure that were
16 reported and those 246 individuals were exposed in 84
17 different incidents or episodes.

18 CHAIRPERSON KLEINMAN: And then following that,
19 when you say majority of cases, those are the -- those add
20 up to the 88 percent and those are just bystanders?

21 DPR SPECIAL ADVISOR SEGAWA: No, this would --
22 well, illnesses are reported both for bystanders as well
23 as occupational exposure.

24 PANEL MEMBER HAMMOND: So how -- and if I'm
25 jumping ahead, stop me. But how many of these cases were

1 bystander and how many were workers?

2 DR. DuTEAUX: That's actually -- if I hit the
3 next slide --

4 PANEL MEMBER HAMMOND: No, no, I'll wait. Let's
5 just don't go forward --

6 DR. DuTEAUX: It's right there.

7 PANEL MEMBER HAMMOND: Oh, okay, okay. So --

8 DR. DuTEAUX: And "bystanders," Randy, if you
9 could add a definition of bystanders, because it's not
10 just residential bystanders, occupational bystanders.

11 DPR SPECIAL ADVISOR SEGAWA: Yeah. And so what
12 we refer to occupational exposures is the people who are
13 handling and applying the pesticide.

14 PANEL MEMBER HAMMOND: So you had relatively few,
15 only 12 perc -- less than 12 percent of the cases that
16 were reported.

17 But again, the cases are not cases of exposure,
18 they're cases of reported illness?

19 DPR SPECIAL ADVISOR SEGAWA: Correct.

20 PANEL MEMBER HAMMOND: Okay.

21 PANEL MEMBER BLANC: I just want to point out for
22 those of you who are less familiar with the pesticide
23 illness reporting system, it's a passive surveillance
24 system that relies on reports being filed with the county
25 ag commissioners usually, or county health commissioners.

1 There's been a component expanded wherein cases are
2 solicited from the California Poison Control System, but
3 that does not span this entire period, I do not believe.
4 But I could be wrong about how long you've had that
5 arrangement with the --

6 DPR SPECIAL ADVISOR SEGAWA: Yeah, I'm not sure
7 either. Yeah, but you're correct, that we have been
8 consulting with Poison Control to get their data. We also
9 consult with worker compensation to get their information
10 as well. And so we -- we try to get as complete amount of
11 data as possible.

12 PANEL MEMBER BLANC: But it's a -- it's an
13 incomplete surveillance system. So one of the things that
14 would be important since the inconsistencies in the system
15 are fairly stable over time, would be to get a sense of
16 the temporal trends in the 10-year period in question.

17 So is there -- the next slide have the temporal
18 trends perhaps?

19 Good. Thank you.

20 DR. DuTEAUX: Thank you, Randy.

21 --o0o--

22 DR. DuTEAUX: Okay. So again, here are the
23 temporal cases, plotted out cases and episodes from 2004
24 to 2014. And much like the plot of chlorpyrifos use
25 statewide, these reported cases have generally trended

1 down over the same amount of time.

2 --o0o--

3 DR. DuTEAUX: And the next plot is illnesses
4 caused by agricultural use. And in blue is the
5 non-occupational or the non-handler cases, illnesses; and
6 in the green are the occupational. And again there's a
7 trend over time of less of these with less chlorpyrifos
8 used across the State.

9 PANEL MEMBER HAMMOND: I have a question on that.

10 I thought you said more than 88 percent were
11 non-occupational? That doesn't look like that's true
12 looking at that figure.

13 DR. DuTEAUX: I think that --

14 PANEL MEMBER BLANC: Kathy, you have to
15 understand, if I'm a farm worker and a plane flies over me
16 and sprays me with chlorpyrifos, and I get ill and go
17 intensive care, that's not occupational in their system;
18 that's bystander. I have to be the pilot applying the
19 material to be ill to be occupational, or the person
20 formulating it.

21 PANEL MEMBER HAMMOND: Okay. So we --

22 PANEL MEMBER BLANC: Some of these people are,
23 you know, the kid playing at the school, but some of them
24 are the farm workers but they're not occupational in this
25 system.

1 Randy, correct me if I'm wrong on that.

2 PANEL MEMBER HAMMOND: But I think that -- it's
3 like we have two different definitions than on this slide
4 and two slides back that are confusing. I hear what
5 you're saying, Paul. I get it. But...

6 DR. DuTEAUX: And I apologize for any confusion.
7 This report and the graphics from it are generated from a
8 report from our Worker Health and Safety Branch, and it is
9 referred to as one of the first references in the back of
10 your risk assessment document. And it's available on
11 line. And if the Committee would like to have copies of
12 it, we'd be happy to make copies during the lunch break
13 and distribute them.

14 CHAIRPERSON KLEINMAN: Yeah, I think that would
15 be a good idea.

16 DR. DuTEAUX: Okay. We will do so.

17 PANEL MEMBER GLANTZ: I do think just that the
18 point Kathy's raising, if you'd just look at the slide,
19 and you just add up the occupational numbers, that looks
20 like that's more than 12 percent.

21 DR. DuTEAUX: Agreed. And it does look
22 confusing. And I wish I could explain the numbers more,
23 but it was generated in a different branch.

24 PANEL MEMBER HAMMOND: Yeah, if -- one way that
25 could perhaps help on this is -- I hear Paul's point that

1 under non-occupational -- I mean under occupational here
2 are people that are bystanders in the other 88 percent.

3 DR. DuTEAUX: Occupational bystanders.

4 PANEL MEMBER HAMMOND: But you could perhaps make
5 a third color here that were bystanders who -- people who
6 were occupationally exposed but not --

7 DR. DuTEAUX: Sure, that -- I absolutely
8 understand what you're saying, Dr. Hammond.

9 PANEL MEMBER HAMMOND: Yeah.

10 DR. DuTEAUX: Just occupational bystanders,
11 residential bystanders, and then occupationally --
12 occupational ag handler exposures; those three colors
13 would make a tremendous difference in this graph. And
14 knowing that that would clarify, we will certainly move
15 forward with a suggestion to the Worker Health and safety
16 Branch for their subsequent reports that come out annually
17 to perhaps make the graphics that way.

18 PANEL MEMBER HAMMOND: And also I would be
19 interested in a graph that breaks it into different age
20 groups.

21 DR. DuTEAUX: Different age groups.

22 PANEL MEMBER HAMMOND: I mean how many of these
23 illnesses are among children, for instance?

24 DR. DuTEAUX: Okay. So that would be the
25 residential bystander?

1 Got it.

2 PANEL MEMBER HAMMOND: Unless there are children
3 in the fields.

4 DR. DuTEAUX: Wow. Cal/OSHA might have an issue
5 with that. Although I realize that there are restrictions
6 were lifted on the federal level for who can use
7 pesticides, age-wise. I think that goes down to age 16 or
8 that was -- that was somehow changed.

9 Well, we'll have some complicated numbers coming
10 out in next year's report. But I appreciate the chance to
11 try and clarify. I appreciate Randy coming up. And we
12 will certainly make an effort as a department to come out
13 with a more clarified assessment of the data for future
14 year reports.

15 PANEL MEMBER HAMMOND: I appreciate your efforts
16 there too. Thank you.

17 DR. DuTEAUX: Thank you.

18 --o0o--

19 DR. DuTEAUX: Okay. Moving on to the fun stuff.
20 Symptomology.

21 Acute poisoning of chlorpyrifos can result in
22 human death. Doses generally over 300 milligrams per
23 kilogram in humans would be required to cause death. But
24 it also can result in unconsciousness, convulsions,
25 cyanosis, and uncontrolled urination.

1 Lower doses are those less than 300 milligrams
2 per kilogram, can result in hypersalivation, respiratory
3 distress, muscle tremors, and the general range of
4 symptoms seen with other organophosphate poisoning.

5 For chronic toxicity in workers. Workers who
6 have reported higher exposures reported impaired memory,
7 some speech difficulties as well.

8 But there were no consistent reports of effect in
9 workers exposed to lower levels.

10 And this is from Albers, et al, 2004.

11 --o0o--

12 DR. DuTEAUX: So moving on to the Toxicology
13 Profile.

14 --o0o--

15 DR. DuTEAUX: As I mentioned, there's --
16 chlorpyrifos has a classical mode of -- classical target,
17 a toxicity associated with being an organophosphate in
18 that it binds and inhibits the enzyme acetylcholinesterase
19 in both insects and mammals. The effect on insects is why
20 it is used in this state and elsewhere as an insecticide.

21 In mammals, chlorpyrifos results in an
22 accumulation of the neurotransmitter acetylcholine. And
23 this results in excessive stimulation of the cholinergic
24 pathways in central and peripheral nervous systems. And
25 it requires metabolic activation of chlorpyrifos to the

1 oxon to inhibit this cholinesterase activity.

2 --o0o--

3 DR. DuTEAUX: Acetylcholinesterase hydrolyzes
4 acetylcholine in some non-neuronal cells such as red blood
5 cells.

6 And RBC, red blood cell, inhibition is commonly
7 used as a surrogate of the inhibition in other target
8 tissues, including brain.

9 The threshold dose for red blood cell
10 acetylcholinesterase is approximately one milligram per
11 kilogram per day including for immature organisms. And
12 these are in a mammalian system. And this number will
13 come up several times throughout our discussions today
14 with you.

15 --o0o--

16 DR. DuTEAUX: In terms of --

17 PANEL MEMBER GLANTZ: I just have a question.

18 DR. DuTEAUX: Yes.

19 PANEL MEMBER GLANTZ: So when you say mammalian
20 systems, is that all mammals including humans? Or are you
21 talking about, you know, rats, and people are different?

22 DR. DuTEAUX: Mammalian. So it spans the kingdom
23 of mammals.

24 --o0o--

25 DR. DuTEAUX: In terms of toxicokinetics, we'll

1 break this up into absorption first.

2 Oral absorption is complete approximately 70 to
3 90 percent in rats and humans.

4 Dermal absorption is much lower though, at only 3
5 to 10 percent, as found in urinary metabolites.

6 There's also evidence that through inhalation
7 absorption that acetylcholinesterase can be inhibited.

8 In terms of distribution, the highest levels of
9 chlorpyrifos are found in fat. It also tends to bind to
10 plasma proteins. It's also been detected in rat and human
11 milk. And there's also evidence of transplacental
12 transfer.

13 --o0o--

14 DR. DuTEAUX: Metabolism is generally handled by
15 liver cytochrome P450 enzymes.

16 It -- chlorpyrifos can be oxidated desulfur --
17 oxidatively desulfurated to the oxon.

18 And it can be dearylated into
19 3,5,6-trichloro-2-pyridinol, or TCPy, as well as diethyl
20 thiophosphate, or DETP.

21 And it can be hydrolyzed into TCPy and
22 diethylphosphate, or DEP.

23 Elimination is generally rapid in mammalian
24 systems. The biological half-life in humans is between 10
25 and 27 hours.

1 Urine is the main route of elimination. And you
2 will find TCPy as well as the other two metabolites
3 mentioned, and also glucuronide and sulfate conjugates in
4 urine.

5 And urinary TCPy is commonly used in human
6 biomonitoring studies.

7 --o0o--

8 DR. DuTEAUX: The targets of toxicity have been
9 looked at extensively in multiple systems across animal
10 models. And this was actually a requirement of FIFRA
11 registration. So we have multiple data volumes showing
12 the results from the various targets of toxicity.

13 So first in terms of developmental and
14 reproductive toxicity, there's no evidence that
15 chlorpyrifos is a teratogen or that affects reproduction.
16 I did come across one paper recently that showed that 35
17 milligrams per kilogram per day in a mouse model affected
18 spermatozoa. But that's significantly above the level
19 that causes acetylcholinesterase inhibition.

20 And no fetal toxicity was observed without the
21 presence of maternal toxicity. Or to say another way, as
22 this slide bullet says, fetal toxicity is only observed in
23 the presence of maternal toxicity. We're talking over
24 toxicity in the pups.

25 Immunotoxicity doesn't seem to be a target for

1 chlorpyrifos.

2 And there are studies for genotoxic effects.
3 Those are mostly negative. However, DNA damage has been
4 shown in assays with yeast and bacteria, as well as cell
5 culture from treated laboratory animals.

6 PANEL MEMBER BLANC: Let me break in here just so
7 I'm clear about your terminology.

8 By inference, I'm taking it that you exclude from
9 developmental toxicity on this slide developmental
10 neurotoxicity.

11 DR. DuTEAUX: Absolutely, yes.

12 PANEL MEMBER BLANC: So I think that's rather
13 important to say. And the slide is actually quite
14 misleading in a very critical and crucial way. Not that
15 you intended it to be. But I think we have to call --
16 call that out right now that in future slides you're going
17 to get to neurotoxicity; but most of us on this Panel
18 would consider developmental neurotoxicity as a
19 developmental subset of developmental toxicity. So if I
20 were editing your slides, I'd put a big parentheses say
21 "except for," you know.

22 DR. DuTEAUX: Thank you for the clarification.
23 You're absolutely correct. In this case, it would be
24 ossification cleft palate, those sort of gross
25 malformations that we look for. But you're absolutely

1 right though.

2 Right. So Svetlana's reminding me that this --
3 how I'm going through this is actually following the table
4 of contents of the risk assessment document that you've
5 received.

6 But you're absolutely right. We don't want to
7 mislead by implying in any stretch of the imagination that
8 chlorpyrifos does not potentially affect neurodevelopment.

9 --o0o--

10 DR. DuTEAUX: In terms of carcinogenicity, in
11 animals studies there's been no evidence of tumors in
12 chronic feeding studies of rats and mice.

13 In humans there have been recorded associations
14 between chlorpyrifos use and non-Hodgkin's lymphoma, lung
15 and rectal cancer in pesticide applicators and farmers.
16 This is largely from the agricultural health study.

17 These associations are based on relatively small
18 numbers of cases - large numbers of controls though - and
19 concomitant exposure to other chemicals happened in many
20 numbers of these cases.

21 The exposure is based on questionnaires, either
22 as recall with occupational -- it's not handlers, it's
23 actually farmers, or questionnaires with the family
24 members if those farmers had been deceased. So it's a
25 recall on what they might have used when they were

1 farming.

2 According to U.S. EPA, chlorpyrifos is not likely
3 to be carcinogenic in humans, and that's based on the lack
4 of evidence of carcinogenicity in animal studies and the
5 absence of mutagenicity.

6 --o0o--

7 DR. DuTEAUX: Okay. Neurodevelopment toxicity,
8 in animals first. There are many studies where females
9 were dosed throughout pregnancy and through lactation, or
10 that the pups were dosed after weaning and repeated
11 dosing. So again, the females being dosed throughout
12 pregnancy and into lactation so that the pups were exposed
13 in utero and through milk, or the pups when they were a
14 certain age were then dosed after they were weaned. And
15 it was repeated dosing, generally through gavage.

16 The animals were evaluated for their motor
17 activity, auditory startle response, spatial orientation,
18 social behavior, cognition, anxiety in young pups as they
19 matured.

20 We have many results in our December 2015 and
21 2017 draft risk assessments, and here's a summary of some
22 of those findings.

23 That developmental neurotoxicity occurs at doses
24 that do not alter pregnancy or the general health of the
25 offspring.

1 And there's evidence of long-lasting impairment
2 on locomotor activity, deficits in cognitive function, and
3 social interaction at doses equivalent to the threshold
4 for cholinesterase inhibition, or one milligram per
5 kilogram per day.

6 There's also evidence of a decline in anxiety
7 shortly after weaning associated with doses below those
8 that inhibit brain cholinesterase, or levels approximately
9 0.5 milligrams per kilogram per day.

10 In addition, chlorpyrifos has been shown to
11 inhibit neuronal growth in vitro on concentrations well
12 below those that cause acetylcholinesterase inhibition.
13 And Dave Eaton did a nice review of this in 2008.

14 --o0o--

15 PANEL MEMBER BLANC: Can you just clarify one
16 usage of terminology here.

17 When you use the term "at the threshold of as
18 acetylcholinesterase inhibition, do you mean at the
19 threshold of 10 percent inhibition or do you mean at the
20 threshold of zero inhibition?

21 DR. DuTEAUX: It's not zeroed out. It's just a
22 10 percent decrease.

23 PANEL MEMBER BLANC: Yeah. Okay. So I mean
24 that's important to be clear about that.

25 DR. DuTEAUX: Correct.

1 PANEL MEMBER BLANC: Since the 10 percent is what
2 you use for other endpoints; is that correct?

3 DR. DuTEAUX: Right, correct.

4 PANEL MEMBER BLANC: So therefore, the
5 interpretation of this is a mixed bag, where some of the
6 effects are at the 10 percent threshold but some of them
7 are well below those -- that the in vitro are well below
8 those at which any inhibition whatsoever can be detected.

9 DR. DuTEAUX: Right. And the second thought, a
10 bullet from the bottom. The anxiety effect happens
11 without the cholinesterase inhibition, at doses that don't
12 cause cholinesterase inhibition.

13 PANEL MEMBER BLANC: And it's not even 3 percent,
14 just 0 percent. Is that correct.

15 DR. KOSHLUKOVA: So many of the new studies that
16 measure dose low level effect, they do not concurrently
17 measure cholinesterase in their assays. So we are going
18 by the general threshold for cholinesterase inhibition.

19 PANEL MEMBER BLANC: Right. But that wasn't
20 exactly my question. My question was -- yes, it's below
21 the 10 percent. Is it 1 percent or 0.1 percent inhibition
22 or no inhibition that could possibly be measured?

23 DR. KOSHLUKOVA: So in general, for
24 cholinesterase inhibition 10 percent is considered the
25 limit of detection -- the detection limit.

1 PANEL MEMBER BLANC: I see.

2 Okay. Thanks. That's helpful.

3 PANEL MEMBER BUCKPITT: I think it would be very
4 difficult to measure 1 or 3 percent inhibition. I mean
5 you're measuring small differences in large numbers.

6 PANEL MEMBER BLANC: I suppose this is a
7 statistical question. If you had enough samples, you
8 could certainly measure 3 percent inhibition reliably if
9 you had enough observations. But I don't know what the
10 variability is, but assuming you had enough observations.

11 DR. KOSHLUKOVA: Yeah. So in 2006 or '08, U.S.
12 EPA and NTP conducted a large data set analysis of
13 cholinesterase inhibition in brain and RBC. In the red
14 blood cell, the 10 percent was determined as the threshold
15 for detection. Not the threshold. Detection limit.

16 DR. DuTEAUX: Meaning -- and just for my
17 clarification, meaning that it's difficult to quantitate
18 levels of inhibition less than 10 percent.

19 DR. KOSHLUKOVA: Yes.

20 DR. DuTEAUX: So between 1 and 9 percent, it's
21 very difficult to quantify analytically, correct?

22 DR. KOSHLUKOVA: (Nods head.)

23 DR. DuTEAUX: Yeah. Enzymatic issues are hard to
24 quantify at times.

25 PANEL MEMBER BUCKPITT: Do you happen to remember

1 what the number was? How many analyses they did in that
2 NTP study?

3 DR. KOSHLUKOVA: We'll get back to you on this.
4 I recall 100 data sets. And at least for brain
5 cholinesterase. I don't recall -- I don't remember the
6 RBC.

7 PANEL MEMBER HAMMOND: Another question on that.

8 DR. KOSHLUKOVA: We'll get back.

9 PANEL MEMBER HAMMOND: So just another quick
10 question. This is just my lack of knowledge in
11 toxicology. Is there a linear relationship between
12 chlorpyrifos and the inhibition, or is that -- is that
13 sigmoidal? Or what's the relationship there? On, say, 10
14 percent inhibition going up, is that a linear
15 relationship?

16 DR. KOSHLUKOVA: It looks like.

17 PANEL MEMBER HAMMOND: And what concentration
18 gives you 10 percent inhibition?

19 DR. DuTEAUX: One milligram per kilogram per day
20 is generally the dose that causes 10 percent inhibition.

21 PANEL MEMBER HAMMOND: 10 percent inhibition?

22 DR. DuTEAUX: Right.

23 PANEL MEMBER HAMMOND: Okay. Thank you.

24 DR. DuTEAUX: So, again, we will follow up with
25 the Panel on the NTP report.

1 Additional questions before moving off this
2 slide?

3 --o0o--

4 DR. DuTEAUX: Okay. So we have expanded and
5 looked further into additional data on neurodevelopment
6 toxicity in animals. And those results are shown in our
7 2017 draft, which is the one that you have. And we will
8 also follow up with subsequent drafts, any additional data
9 and findings that we have.

10 Most of these additional data were found in
11 abstracts of presentations or published papers from 2014
12 to 2017. And those reported developmental neurotoxicity
13 that is in pups or neurotoxicity in adults at low -- LOELs
14 of 0.1 to 0.5 milligrams per kilograms per day. So that
15 would be approximately one order of magnitude lower than
16 the amount that causes 10 percent inhibition in
17 acetylcholinesterase.

18 So there were three domains that were studied in
19 this small group of research from 2014 to 2017. And the
20 domains that were studied are cognition, behavior, and
21 motor activity again in animal models.

22 There were two rodent species tested, both rats
23 and mice. And the treatment was largely by gavage for
24 dams during pregnancy and lactation, or pups; or indirect
25 exposures to the pups in utero and through lactation; or

1 subcutaneous treatment of adults. So that's simply the
2 neurotoxicity and not developmental toxicity.

3 Exposure was either fully gestational or only
4 postnatal or both, and it consisted of either single or
5 repeated doses.

6 --o0o--

7 DR. DuTEAUX: And this is a table of some of
8 these results, including Carr, et al., 2015. And
9 Professor Carr was on the SAP panel that was asked to talk
10 about biomarkers in the April 2016 meeting with EPA about
11 chlorpyrifos.

12 Silva, et al., is one of the most recent papers,
13 as well as Gomez-Gimenez. And Lee, et al., also has data
14 on chlorpyrifos and carbamates.

15 And Muller was I think one of the only papers
16 that we -- the new papers that we looked at that looked at
17 adults only. And that was the subcutaneous testing.

18 So just in terms of a summary table here, you're
19 given a large slide of it. But if I could point your
20 attention to, when you have an opportunity to look at in
21 the risk assessment document, tables 12, 13, 15, and 16,
22 have additional data besides these to look at.

23 So in general, looking at all of the new data and
24 the older data, behavior and cognition are relatively
25 difficult to assess and quantify in animal models. You

1 can't ask the mouse how they're feeling. It's really
2 hard. But it's complicated in that some effects don't
3 show a dose-response. It's really hard to do a
4 dose-response on a startle effect. How do you quantify
5 that?

6 And others are equivocal. Sometimes the response
7 goes up, sometimes it goes down.

8 Also, of course, you would think that the
9 neuronal pathways involved in regulation of motor and
10 behavioral behaviors are not all known. And I'm sure that
11 they somehow work with each other. We haven't completely
12 elucidated all of those pathways.

13 --o0o--

14 DR. DuTEAUX: And as Svetlana -- Dr. Koshlukova
15 was mentioning, cholinesterase activity or inhibition was
16 generally not concurrently measured in these studies. So
17 it's hard to know what the level of inhibition was
18 occurring with the other effects that were being measured
19 in terms of motor startle reflex, et cetera.

20 And another complicating factor with published
21 literature, as opposed to contract laboratory reports we
22 received through the FIFRA requirements, is that
23 individual animal data are generally not available.
24 Sometimes we do go back to the researchers and ask for
25 individual data to be able to perform further analysis.

1 But for these particular six or seven publications, we
2 weren't able to get individual data to perform further
3 analysis.

4 --o0o--

5 DR. DuTEAUX: So --

6 PANEL MEMBER HAMMOND: Excuse me.

7 DR. DuTEAUX: Yes.

8 PANEL MEMBER HAMMOND: Were you not able because
9 of time, because these papers just came out and there just
10 hasn't been time, or have you asked them and they've said
11 no?

12 DR. DuTEAUX: Largely it's been a matter of time.
13 But I'll defer to Marilyn as to -- yeah, we haven't.

14 There's -- occasionally researchers are happy to
15 give us the information. We have a recent call-out to a
16 researcher in Sweden for carbaryl who was willing to share
17 the raw data with us. It's not always the case.

18 PANEL MEMBER HAMMOND: So if I'm hearing you
19 correctly, you sometimes do ask for those data and then do
20 a further analysis.

21 DR. DuTEAUX: Yes.

22 PANEL MEMBER HAMMOND: So it would seem use -- I
23 certainly understand. This is 2017 data, just a month,
24 you know -- so, is that something you have asked for or
25 you're going to ask for, so you're going to include them

1 in the next iteration?

2 DR. KOSHLUKOVA: We did ask for individual -- for
3 raw data one of the groups. This was from -- which group
4 was --

5 DR. DuTEAUX: By Lee?

6 DR. KOSHLUKOVA: By Lee, yeah. And they did --
7 we did receive the data.

8 No, no, can you go back to...

9 The 2015 paper, the brain.

10 DR. DuTEAUX: That is the one paper we received
11 individually -- or individual animal data.

12 DR. KOSHLUKOVA: So we requested this for
13 carbaryl, not for chlorpyrifos, because that's -- that's
14 when we started -- that's why we started looking at this
15 paper. But we can get the chlorpyrifos data. They're
16 willing to share.

17 DR. DuTEAUX: And, Dr. Silva, did you mention
18 that you wanted to try to get the Muller data as well.

19 DR. DuTEAUX: She said no.

20 DR. SILVA: No.

21 DR. DuTEAUX: And she hasn't requested those
22 data.

23 But if we do get individual data and can do our
24 own analysis independent of the manuscript, we will
25 certainly do so for either a subsequent draft of this

1 document or the final draft.

2 --o0o--

3 DR. DuTEAUX: Okay. Moving on to
4 developmental -- neurodevelopmental epidemiology. There
5 are several ongoing prospective cohort studies and
6 multiple observational studies that have investigated the
7 associations between markers of chlorpyrifos exposure and
8 effects on neurodevelopment, learning, and behavior in
9 humans.

10 Some of the studies included biomarkers of
11 exposure such as chlorpyrifos measured in plasma, TCPy, or
12 nonspecific OP metabolites lights in maternal or child
13 urine.

14 One of these studies was conducted by the
15 Columbia Center for Children's Environmental Health at the
16 University of Columbia. It's also known as the CCCEH
17 cohort. So if you see that acronym, that's referring to
18 the Columbia cohort.

19 In this study they quantified chlorpyrifos in
20 maternal or cord blood plasma around the time of birth.

21 They also are unique in that they did personal
22 air sampling for the mothers in and around the time of one
23 month prior to birth.

24 And in this they evaluated associations between
25 plasma concentrations of chlorpyrifos around the time of

1 birth and attention problems, attention-deficit and
2 hyperactivity disorders, pervasive developmental disorder,
3 working memory, and full scale IQ in the offspring of the
4 mothers.

5 --o0o--

6 DR. DuTEAUX: So Columbia -- the Columbia study
7 is actually not the only study that tried to quantify
8 maternal or cord blood plasma levels of chlorpyrifos at
9 the time of birth. Digging into it further, we found
10 several studies that have done the same thing. So the
11 study from Columbia, Wyhatt, et al., 2003, that most
12 people refer to is highlighted up in the light blue at the
13 top of the table. And in addition, there have been other
14 studies from 2010, 2012, and 2015 and '17 that have also
15 done the same type of quantification of the parent
16 compound in cord blood plasma around the time of birth.

17 They all differed in the number of samples, their
18 limit of quantitation or detection, the median level of
19 chlorpyrifos detected, and their methodologies.

20 I realize this is the first time you've seen this
21 data. This is not in the draft. These are -- these
22 are -- this is a brand new assessment. So I'd like to
23 show or point out some of the information here that might
24 be interesting to you.

25 First of all, there was a difficulty in finding

1 samples that were above the limit of detection. In
2 restating that, it would be to say that chlorpyrifos was
3 difficult to detect in samples. The rate was less than 68
4 percent, and some studies only 1 or 2 percent of the
5 samples were above the limit of detection or quantitation.
6 And that doesn't mean that the sensitivity of the analysis
7 was difficult. It's just chlorpyrifos is difficult to
8 find in the blood and it's rather reactive and it has a
9 pretty short half-life.

10 The limit of detection or quantitation ranged
11 from 0.001 parts per billion - in other words one part per
12 trillion - up to 21 parts per billion. So several orders
13 of magnitude between the analytical limits of detection
14 and quantitation.

15 The medians also ranged as well as significantly.
16 Some of these studies did not report median levels and
17 simply reported a range. So, for instance, the median
18 range for Wyhatt, et al., the Columbia study, was 0.026
19 parts per billion or 2.6 parts per trillion.

20 However, if I may point out, in their
21 methodology, the last column, their standard curve was
22 between 21 and 6400 parts per billion, meaning that the
23 low end of the standard curve was three orders of
24 magnitude higher than the median of the samples that they
25 tested. So it's difficult to know with accuracy if those

1 measurements were precise or accurate.

2 I also would like to note that the recovery of
3 those -- of chlorpyrifos from those samples was only 18 to
4 21 percent; meaning that if exposure was attributed to
5 these samples, it was underestimated. By how much, we're
6 not quite sure since the recovery was so low.

7 In other studies, the limit of detection, such as
8 in Neta, et al., 2010, the next line, the limit of
9 detection was actually above the highest max -- the range
10 of the samples they tested. So it's difficult to have
11 confidence in a number which is supposed to be the maximum
12 concentration of chlorpyrifos in blood when the limit of
13 detection was actually higher than that number.

14 And in Huen, et al., 2012 - so the CHAMACOS
15 study - that was also the case, that the median levels of
16 both the cord and maternal plasma sampled were below the
17 limit of detection. And in that paper, the authors note
18 that they do not have confidence in using -- in using the
19 results from those studies to do any further analyses.

20 So I'll let this information percolate again. It
21 was -- we did this brand new between the time that we
22 released this information to you and this meeting, and we
23 can certainly add this as additional information to the
24 revised risk assessment. So we'll be coming out.

25 PANEL MEMBER RITZ: So this is the parent

1 compound, not the oxon or TCPy?

2 DR. DuTEAUX: Right. This is parent.

3 PANEL MEMBER RITZ: Right. So we would expect
4 very little of that, right?

5 DR. DuTEAUX: Actually, the -- what I
6 understand - and perhaps Dr. Kwok can weigh in - is that
7 only about 40 percent of chlorpyrifos goes to the oxon.
8 The rest goes through other metabolic pathways. So if
9 you're measuring the oxon, you're actually measuring even
10 less of the component.

11 So, Erik, do you need -- would you like to
12 clarify anything further on that?

13 DR. KWOK: I don't have anything to add. It's
14 the -- this one I'm not very familiar with the branching
15 ratio, how actually different pathways -- if you have a
16 same parent compound that you have multiple pathways on
17 it. To be able to accurately characterize that, we would
18 actually need to consider the kinetics of each pathway,
19 and then it become a natural branching ratios.

20 I haven't actually done this analysis. I haven't
21 seen anybody actually done this type of analysis. So at
22 this point I really have nothing to add.

23 DR. DuTEAUX: Thank you.

24 CHAIRPERSON KLEINMAN: Kathy.

25 PANEL MEMBER HAMMOND: This -- thank you very

1 much for bringing this new material here. And I share
2 your concerns about the limits of detection and some of
3 that.

4 Do you -- is there information that you -- that
5 would help us interpret this - I know there is, I'm
6 sure -that relates the plasma concentration to percent
7 inhibition?

8 DR. DuTEAUX: That's a really good question. In
9 fact, your colleague, Dr. Isaac Pessah, asked the same
10 question at the April 26 Scientific Advisory Panel
11 meeting. And --

12 PANEL MEMBER HAMMOND: And did they give him an
13 answer?

14 DR. DuTEAUX: And he thought with a part per
15 trillion quantitation in plasma, that that would range to
16 even a part per quadrillion, or that would be pico femto
17 levels of molecules hitting the target. And I know
18 there's a direct quote in the transcript from that
19 meeting. But from my recall, I believe he said that
20 that -- if chlorpyrifos actually acted at that level, at
21 that concentration, it would be more potent than the most
22 potent pharmaceuticals that we've engineered to this
23 point.

24 But to be able to quantify what this means in
25 terms of inhibition, it's very difficult to do, because

1 like some of the animal studies, acetylcholinesterase was
2 not measured at the time these samples were taken.

3 PANEL MEMBER HAMMOND: Oh, darn. Right. Okay.

4 So they're just -- they're -- no one has -- not
5 necessarily even in these studies, but in other studies,
6 no one has actually measured both the plasma level of
7 chlorpyrifos and the percent inhibition?

8 DR. DuTEAUX: Not in terms of developmental
9 neurotoxicity.

10 PANEL MEMBER HAMMOND: Well, I'm just asking even
11 just at a higher end of the dose-response.

12 DR. DuTEAUX: I actually do believe that there
13 are some occupational studies --

14 PANEL MEMBER HAMMOND: Yeah.

15 DR. DuTEAUX: -- and maybe even agricultural
16 health study perhaps. And I'm not sure if --

17 PANEL MEMBER HAMMOND: I guess it would be
18 worthwhile piecing together what data are there and just
19 seeing --

20 DR. DuTEAUX: Sure.

21 PANEL MEMBER HAMMOND: -- what the picture looks
22 like.

23 DR. DuTEAUX: That's a very good question.

24 PANEL MEMBER HAMMOND: Might be occupational here
25 and something else here. And just seeing what we could --

1 how they could come together.

2 DR. DuTEAUX: Right. And I know that there are
3 occupational monitoring programs where they have measured
4 it. And so that would be very interesting to look at,
5 indeed.

6 PANEL MEMBER HAMMOND: Okay. And then -- stop me
7 again if I'm jumping the gun. Sorry.

8 But do we have a time period in gestation that we
9 think is most important for neurodevelopmental toxicity?
10 I thought it was earlier there, so -- I mean I --

11 DR. DuTEAUX: Right.

12 PANEL MEMBER HAMMOND: And this is -- we should
13 keep in mind that this exposure determination is made at
14 birth, right?

15 DR. DuTEAUX: Correct.

16 PANEL MEMBER HAMMOND: And if the mother's been
17 in la -- we have something that has a half-life of what,
18 27 hours. And a mother's probably been kind of more
19 removed from most of the exposures, you know, that -- it
20 may be that this is an underestimate. I don't know.

21 DR. DuTEAUX: Right. And --

22 PANEL MEMBER HAMMOND: It's really hard to know
23 what's happening there.

24 DR. DuTEAUX: And you're absolutely right.

25 Several of the studies in their discussions have talked

1 about what is that critical window vis-à-vis an
2 organophosphate or chlorpyrifos specifically in terms of
3 neurodevelopment. For most chemicals, as you know, it
4 would be in the first trimester; into the second trimester
5 where you have the neuronal tube development, et cetera;
6 and then most of the growth and development happening in
7 third trimester.

8 The difficulty that the studies have pointed out
9 is there's inconsistencies with what the plasma level at
10 birth are and the quantitation of urinary metabolites.
11 Those don't always seem to line up in some of these
12 studies.

13 Interestingly, with the Columbia study we also
14 had personal air sampling of the mothers, 48-hour
15 time-weighted averages.

16 PANEL MEMBER HAMMOND: I'm looking at those, yes.

17 DR. DuTEAUX: And they were not correlated well
18 with the blood samples at birth either.

19 So when we move from a measurement at birth, I'm
20 trying to figure out, is that similar to the exposure over
21 a nine-month period? We have very little data to allow us
22 to figure that out unfortunately.

23 PANEL MEMBER HAMMOND: The Columbia population
24 were inner city, right?

25 DR. DuTEAUX: Right.

1 PANEL MEMBER HAMMOND: So they probably don't
2 have agriculture. But they were also near the turn of the
3 century, so they could have had the residential
4 pesticides.

5 DR. DuTEAUX: Right. And it's interesting that
6 that study as well as the home study in New Jersey, that
7 cohort spanned the time of indoor use and banned or
8 restricted indoor use. And so you have -- I believe there
9 might be a discussion in that in our current risk
10 assessment where it talks about the enrollees prior to the
11 ban have quantifiable measures of various things, urinary
12 metabolites, et cetera. But after that ban it's virtually
13 impossible to detect chlorpyrifos either in plasma samples
14 or in urinary samples as well.

15 PANEL MEMBER HAMMOND: So in addition to these
16 biomarkers, I think it would be very interesting to see
17 those airborne concentrations, those 48-hour
18 concentrations, because those could be informative,
19 especially if we know what year those were happening. But
20 people could have residual, could still have the
21 pesticides in their homes. Right?

22 DR. DuTEAUX: Right.

23 And, Terry, did you want to speak to any of
24 the -- I know you looked at it. But if you're not
25 prepared to talk about that now, that's okay too.

1 DR. BARRY: So we looked at I think it was Wyhatt
2 2003. And she has a table in that paper that presents the
3 air concentrations. And the one particular table, 5
4 maybe --

5 DR. DuTEAUX: I think it's Table 5.

6 DR. BARRY: -- it splits out the air
7 concentrations. If you look at the air concentrations
8 from all the preten -- and all these -- in these studies,
9 the correlation between the cord blood and the mother
10 maternal blood is not very good if it's all lumped
11 together. The air concentration correlated with the blood
12 levels. But if you split it out between more than a month
13 before delivery and the month prior to delivery, then --

14 PANEL MEMBER HAMMOND: They weren't just --

15 DR. BARRY: They were 48-hour samples but they --

16 PANEL MEMBER HAMMOND: But not --

17 DR. BARRY: -- were taken at different times.

18 PANEL MEMBER HAMMOND: I was thinking it was 48
19 hours before delivery.

20 DR. BARRY: Yeah, they're 48-hour --

21 PANEL MEMBER HAMMOND: But that would be crazy.
22 Of course, you can't do that.

23 DR. BARRY: -- samples but they were taken at
24 different time periods. So you've got samples air samples
25 that were taken more than a month before delivery and then

1 samples that were taken during the month of delivery. And
2 the samples that were more than a month for delivery don't
3 correlate with the blood levels.

4 But the month prior to delivery, just like you're
5 saying, there's -- it's a statistically significant
6 correlation but we're talking R.45, R.3, you know, so it's
7 a small R value but they're statistically significant. So
8 you'd have to argue a biological significance for those
9 values.

10 But then it sort of fell out, you know, that
11 month before. But then all of the analysis done after
12 that, they lump everything back together. And they don't
13 control for whether it was the month -- you know, more
14 than a month or a month -- within a month of delivery.

15 So the question would be, if you analyze that
16 data in a time frame, whether you could, you know, dial
17 down a little more on that effect. And I couldn't tell
18 you that because they didn't do it.

19 PANEL MEMBER HAMMOND: Yeah. I think that there
20 are ways one could pursue that. I think there's some very
21 interesting thoughts there. But this is probably going
22 too deep in the weeds at this point of the presentation --

23 DR. BARRY: Right. Yeah.

24 PANEL MEMBER HAMMOND: -- to talk about that.

25 DR. DuTEAUX: And just one more note on that. I

1 think -- and Dr. Barry and I talking about this before
2 today's presentation, what would have been probably the
3 most powerful analysis they could have done is a pairwise
4 analysis of each individual mother's air sampling and her
5 plasma sample. And because they grouped all the data
6 together and did that kind of analysis, it's really
7 difficult to see if mothers with high plasma
8 concentrations had high personal air sampling or vice
9 versa.

10 PANEL MEMBER HAMMOND: Yeah. Probably don't want
11 to go into this in too much detail right now. But I
12 actually think you provided some very interesting insights
13 in how you looked at that, and I think that that suggests
14 some pathways to analyze those data that we could talk
15 about later.

16 PANEL MEMBER RITZ: So I would warn against
17 making these correlations, because we know that there's a
18 lot of dietary intake as well. And you can get it through
19 dermal absorption as well. So whether or not the air
20 levels correlate with the plasma, I would actually doubt.

21 So -- but the other note I had on
22 neurodevelopment, it's not just the early pregnancy,
23 because part of the mechanisms might be neurite
24 outgrowths, axonal and dendritic outgrowths, and that's
25 especially at the end of pregnancy in early life. So we

1 don't really know when the most vulnerable periods are.
2 They could be very different, and they could extend into
3 childhood definitely as well.

4 PANEL MEMBER BUCKPITT: I'll make one comment at
5 this point. I went back looking at some of those human
6 epi studies, and went back to some of the method that were
7 published earlier. And I think there's -- I have some
8 very serious concerns about whether those are even close
9 to being on target. Those standard curves were generated
10 out of water. There was a subsequent publication by the
11 same group where the limits of detection why now 20-fold
12 higher than what they had originally reported.

13 So I think we should have some serious concerns
14 about whether the data published by Wyhatt, quantitative
15 data, is really accurate.

16 PANEL MEMBER RITZ: Well, but any inaccuracy
17 would not produce effects; it would achieve -- hide
18 effects.

19 PANEL MEMBER BUCKPITT: I think based on what I
20 see, the levels were actually probably quite a bit higher
21 than what they reported.

22 PANEL MEMBER BLANC: If you do retain this table,
23 I would suggest that you provide the 95 percent confidence
24 intervals around the percentage of detectable
25 chlorpyrifos. I actually think the only thing that really

1 is relevant here is that they could actually detect any at
2 all. And so -- and since each of these proportions of
3 case -- of participants in whom values were above the
4 level of detection would have a distribution incidence --
5 these are relatively small samples, we should be provided
6 with those data, if you might -- it's pretty simple
7 calculation.

8 DR. DuTEAUX: But you'd ask that we do that
9 analysis on these data or report if the authors did?
10 Because if the authors did, I think we would have included
11 it.

12 PANEL MEMBER BLANC: Yeah, I -- you simply can do
13 it. It's, you know, 0.68 of 150 as a confidence interval.
14 That's easy to calculate. It's related to the square root
15 of 160.

16 And Dan can back me up on that.

17 DR. DuTEAUX: So, we'd be happy to. I just would
18 make clear that if we are doing any analysis of
19 independently authored data, that it doesn't appear like
20 the authors published those data.

21 PANEL MEMBER BLANC: Put a footnote.

22 PANEL MEMBER RITZ: Just one more clarification
23 question. So this chlorpyrifos level is singular
24 chlorpyrifos, not attached to anything, not protein bound,
25 not whatever? So could there be other types of

1 chlorpyrifos in that plasma?

2 DR. DuTEAUX: Well, you bring a good point. And
3 I think this is what Dr. Buckpitt was alluding to, is that
4 the samples taken were plasma -- were blood. Then they
5 were spun down, and then -- it actually was serum, not
6 plasma. But there is a lot of matrix happening in a
7 plasma sample that makes it a complex system.

8 I realize that one of the papers that you sent us
9 showed adducts. And none of these studies tested for
10 chlorpyrifos adducts. However, when you have a standard
11 curve made in water, and you're matrix samples collected
12 from the subjects is plasma, there a distinct difference
13 in what your recovery will be and what the sample actually
14 looks like.

15 And to both Wyhatt and Perez's benefit, they
16 tried to receive serum and plasma samples from the
17 American Red Cross and use those to spike and use to
18 develop the standard curve. However, the samples they
19 received from the American Red Cross blood donation
20 program had enough residual pesticides in it, again from
21 usually dietary sources, that they couldn't use them to
22 develop their standards. So I thought you might find that
23 interesting to note.

24 PANEL MEMBER RITZ: Yeah.

25 PANEL MEMBER BUCKPITT: I do believe that they

1 did denature those samples though. So they precipitated
2 proteins, which would knock anything off that was
3 non-covalently bound.

4 PANEL MEMBER RITZ: Yeah.

5 DR. DuTEAUX: Okay. Anything else?

6 Okay. Moving on.

7 So some summaries about the developmental --
8 neurodevelopmental epidemiology in humans.

9 --o0o--

10 DR. DuTEAUX: Again, it's difficult to quantify
11 associations between chlorpyrifos exposure and effects in
12 humans because of all the issues that we have been
13 discussing. So there's potential exposure to multiple OPs
14 in the environment. And several of those OPs have similar
15 urinary metabolites.

16 And as Dr. Ritz mentioned, measurement of
17 chlorpyrifos -- and Dr. Hammond -- or its metabolites at
18 birth do not indicate what exposure might have occurred
19 throughout pregnancy. And we do not know what the
20 critical window of susceptibility is for
21 neurodevelopmental effects with chlorpyrifos. And as
22 others have mentioned, there's some inconsistencies with
23 the analytical methods.

24 But as stated, in both our August 2017 and the
25 latest draft risk assessment, we conclude that the

1 epidemiological data alone are not sufficient to derive a
2 point of departure for chlorpyrifos as a toxic air
3 contaminant, but the data are compelling.

4 PANEL MEMBER ANASTASIO: Can I ask you a question
5 about that.

6 This is what EPA did in 2016 though, right? They
7 used the epidemiological data to model a time-weighted
8 average concentration and use that to determine a point of
9 departure?

10 DR. DuTEAUX: They did a complicated and very
11 novel approach of dose reconstruction and back
12 calculation.

13 Eric, would you like to give a synopsis of what
14 that methodology was?

15 DR. KWOK: Actually, in 2016, U.S. EPA did adopt
16 two different approach. First, I would like to talk about
17 the April approach.

18 So they assume a certain ex -- they actually
19 tried to, you know, using the PBPK model, and then based
20 on the exposure input, that problem at the time
21 corresponded to our Columbia study, and then you calculate
22 actually what the cord blood level look like.

23 Now, but the actual point of departure was -- if
24 I understood correctly - and Dr. Koshlukova can help me
25 with that - they actually using the cord blood measure and

1 then using the benchmark dose analysis to come up with a
2 target. And then using the PBPK model to see what would
3 be the exposure in order to achieve their target in the
4 cord blood. That's what they did in 2016 April.

5 In 2016 November, they actually -- at that time,
6 they came up with another revision. So what they did is
7 they again using -- built on the spirit of the Columbia
8 study, meaning actually the people that are in the
9 Columbia study experience a certain type exposure, and
10 then using the U.S. EPA standard residential protocol, the
11 procedure to come up with exposure value, and then
12 determine what would be the blood level look like, as a
13 starting point. And then assume that blood level in the
14 associated way with developmental effect in the Columbia
15 study.

16 And then using the same PBPK model, but this time
17 it's called reverse dosimetry using the cord blood, and
18 then to see what would be the exposure and -- not the cord
19 blood, I'm sorry -- the blood level -- the blood level,
20 what would be the exposure corresponding to the blood
21 level.

22 So they start with -- so let me clarify. They
23 start with one exposure, a scenario called the crack and
24 crevice exposure scenario. So they use that -- use that
25 exposure. And then using the PBPK model, and then to

1 calculate what the blood level looked like, and then use
2 that as a target. And then based on this target they
3 using the reverse dosimetry by PBPK model, and then to
4 back calculate what would be the other exposure scenario.
5 Like, say, for instance, like the dietary exposure. What
6 kind of a dietary exposure would achieve the same blood
7 level that correspond to when they're using the crack and
8 crevice exposure scenario, come up with a blood
9 concentration.

10 I hope that clarify what they did. It's a little
11 bit complex. But during that two U.S. EPA document they
12 did something very different.

13 So is there any further clarification that I
14 can --

15 PANEL MEMBER ANASTASIO: Yeah, I just have a
16 question, sir.

17 I mean the November points of departure then were
18 very low.

19 DR. KWOK: Yes. Because that actually -- because
20 of the way that it calculated. So the November one
21 actually because they using the 21-day time-weighted
22 average. The concept actually built on the fact that
23 based on the animal study, they couldn't actually
24 determine the so-called window of susceptibility in terms
25 of neurodevelopment. So I think that's the main reason

1 according to the U.S. EPA 2016 document, that they use a
2 time-weighted average over the 21-day period. And because
3 of you taking average of the 21 day. And also each day
4 the exposure level is not as -- not assumed to be the
5 same. Each day there's a 10 percent degradation, and the
6 exposure is only for like two hours. So in the document
7 is said shower, but what they really mean in terms of
8 modeling is they cut off the exposure after two hours.

9 So every day you have a peak and then you drop,
10 another peak but it's 10 percent less than the previous
11 peak. And then you go on for 21 days and then you average
12 everything out for 21 days. And because of that, the
13 number turns out to be very low.

14 PANEL MEMBER ANASTASIO: I guess my question is,
15 why didn't DPR use a similar approach?

16 DR. KWOK: Well, when we drafted the document, I
17 think at that time we using the -- we used the
18 acetylcholinesterase inhibition as an endpoint. I -- I
19 would say that it's something that I think -- I think it's
20 fair to say that we will look into it because it's -- it's
21 a lot of assumption built in, you know, for that analysis.
22 Because the 2016, the -- one document it stated that, you
23 know, it make the assumption that, you know, the crack and
24 crevice exposure happened at that time, you know,
25 associated with the developmental effect that they

1 observed in the Columbia study.

2 So the exposure really not the actual
3 measurement; it's not an assumption. So I think that need
4 to be critically evaluated before we can move forward. So
5 at this point I think it is something that I think we need
6 to look into a little bit more detail before we can make a
7 decision whether this is something that we -- can or
8 cannot be used for other purpose.

9 PANEL MEMBER ANASTASIO: Yeah, I think that would
10 be great if DPR could critically evaluate what EPA did and
11 say, you know, these are the good parts, these are the bad
12 parts, and this is our -- this is why we are or are not
13 going to use this approach or something similar.

14 DR. DuTEAUX: In the risk appraisal there is a
15 section where we try to provide that critical analysis.
16 Of course EPA are our colleagues and we would be
17 interested in knowing more about the -- both the
18 scientific methodology that they developed as well as the
19 assumptions going into this. However, they're at a point
20 right now in their risk assessment where they -- they put
21 this out in November of 2016, so it's been just over a
22 year. Then they entered a public comment period.

23 Generally what happens in the EPA risk assessment
24 process for pesticides after that is then they have a
25 scientific advisory panel meeting where that's their

1 scientific review of what they've done. So they've
2 finished the -- we assume they finished the review over
3 the public comments. However, nothing has been published
4 since then, and we haven't heard whether they're going to
5 have a scientific advisory panel anytime soon. That's
6 where these questions would definitely come in. And we
7 have participated in those meetings either as simple
8 audience members or actually on panels before. And we
9 would love to have that chance to have that discussion
10 with them. But because their timeline for reregistration
11 of chlorpyrifos doesn't end until 2022, I would be
12 actually surprised to see something come out from them
13 before that time or closer to that time. I think that if
14 they have an SAP, we'll certainly get notified about it so
15 that we can put it on our calendars.

16 Without having more information about how they
17 developed that methodology, it's hard for us to critique
18 it. And if you go to the Federal Register, as we have,
19 and you try to pull down the information about it, there's
20 not as much there that we can figure out the entire
21 method. So we're very lucky that we have Dr. Kwok because
22 he was able to go back through and piece together. And
23 there's actually a figure, I think it's figure number 1,
24 or close to that, at the very beginning of the risk
25 assessment document you have that shows this kind of back

1 calculation and dose reconstruction and backwards
2 dosimetry pathway. And that was -- Erik deduced that from
3 what we could figure out. Other than that, I wish we had
4 more details about their methodology.

5 CHAIRPERSON KLEINMAN: One of the problems with
6 the back-calculation-type approach is that when you're
7 starting out with these metabolites, you're not entirely
8 sure that they were all originally from CPF. There are
9 other chemicals out there that could have given rise to
10 some of those metabolites. So it's very difficult to say
11 whatever you back calculate was due to the chlorpyrifos
12 exposure.

13 So that's another issue.

14 DR. DuTEAUX: And also because there are
15 personnel air sampling data in the Wyhatt study, it's a
16 curiosity to me personally about trying to model those
17 when you actually have air sampling data -- empirical
18 data. So putting that into the system and seeing what
19 kind of number you get out would have been a very
20 interesting comparison.

21 PANEL MEMBER HAMMOND: And I suppose that's going
22 back to Beate's point to, is that these other routes of
23 exposure besides airborne would be part of that.

24 And then the composition of the science advisory
25 panel's been changing in the last year. So that might

1 also be a affecting -- I mean apparently there were people
2 on the panel who understood these things and suggested
3 that you U.S. EPA do certain things. But now there have
4 been some changes in the panel, correct?

5 DR. DuTEAUX: Um-hmm.

6 PANEL MEMBER HAMMOND: So that might be changing
7 whether or not -- how they look at this. And it might be
8 that you might have to be a little more independent here
9 in California for a while.

10 PANEL MEMBER RITZ: Just to the point of
11 assumptions, I think all of these approaches make
12 assumptions. The animal approaches make the assumption
13 that you can model neurodevelopment from an animal in a
14 human; and the human system that will be 70 years old,
15 right? So I think what is most astounding is that all
16 three human studies have been showing some effect on
17 neurodevelopment and on a very complex type of
18 neurodevelopment that we all are very proud of, right -
19 the intelligence of humans. So -- and how to measure that
20 in mice, we haven't figured out yet.

21 So I would give these human studies a little bit
22 more credence on the level of, the complexity of the
23 system can only be measured in humans. There were blood
24 lead, there was cord blood level measurements. Maybe they
25 were very low, but maybe they're an underestimate of what

1 there was. But obviously there's something going on in
2 the human brain.

3 And acetylcholinesterase inhibition is really
4 only one of many, many, many types of modes of action that
5 we can imagine. And we'll probably find out a lot more
6 about what these agents can do. They are dirty agents.
7 They're not just doing one thing. Right?

8 --o0o--

9 DR. DuTEAUX: Okay. Moving on to hazard
10 identification.

11 --o0o--

12 DR. DuTEAUX: Our risk assessment that you have
13 in front of you addresses potential bystander effects
14 arising from food and drinking water exposure, air and
15 skin contact, incidental ingestion, and aggregate exposure
16 from combined sources.

17 We focus on two at-risk populations: Females in
18 childbearing years due to their potential pregnancy
19 status; as well as children that are 1 to 2 years old
20 because of their time spent outdoors and their potential
21 for oral exposure due to mouthing objects and eating dirt.

22 PANEL MEMBER HAMMOND: Now, I'm confused about
23 bystander again. So this bystander's different than -- so
24 this bystander does not include the people -- the workers
25 in the field bystanders, by the occupation, and

1 bystander --

2 DR. DuTEAUX: This should include both
3 residential bystanders and occupational bystanders.

4 PANEL MEMBER HAMMOND: Both. Okay.

5 DR. DuTEAUX: What this doesn't include are
6 agricultural handlers of the pesticide.

7 PANEL MEMBER HAMMOND: Doesn't?

8 DR. DuTEAUX: Yes.

9 PANEL MEMBER BLANC: So just to be clear. It was
10 implicit but not actually explicit in the report. These
11 two groups of focus are because they're essentially the
12 worst-case scenarios. So that if you're modeling found
13 levels that were protective for these groups, they should
14 be protective for other groups; is that correct? That was
15 the rationale, right?

16 DR. DuTEAUX: Yes.

17 PANEL MEMBER BLANC: These are the two -- if
18 anybody's going to be exposed to the highest aggregate
19 levels with the most target organ toxicity, it would be
20 these two groups; is that -- that's the stated ration --
21 the implicit rationale?

22 DR. DuTEAUX: That is the implicit -- that --
23 that's the implicit rationale --

24 PANEL MEMBER BLANC: And it's not driven by we
25 don't have good quantitative data for some of the other

1 groups who if we have the quantitative data, they might
2 even be at more risk?

3 DR. DuTEAUX: I'll let Dr. Koshlukova --

4 DR. KOSHLUKOVA: But there is one population
5 subgroup that showed higher exposures through dietary
6 sources, and those are infants, nursing and non-nursing.
7 However, nursing infants would not be exposed to the
8 mouthing activities, the foot to mouth and the object to
9 mouth. And so this is a significant part of the exposure,
10 which is -- which makes the children want to -- the
11 highest exposed population in the aggregate exposure.

12 PANEL MEMBER BLANC: In the aggregate exposure,
13 right?

14 DR. DuTEAUX: And, Dr. Blanc, point to that -- if
15 we protect this group, then we're likely to protect most
16 people.

17 PANEL MEMBER BLANC: I just want to be sure I
18 understood what -- because it's actually not stated as
19 explicitly as that, I think, at least in my read.

20 DR. DuTEAUX: We will add that in the next
21 revision. Thank you.

22 DR. KOSHLUKOVA: We do have, in the appraisal
23 part, appraisal that infants have higher exposure to the
24 dietary sources, so...

25 --o0o--

1 DR. DuTEAUX: Okay. For the draft points of
2 departure, for the revision that you have, the point of
3 departure is defined as a dose that is not associated with
4 adverse effects or that causes a low level of response.
5 And points of departure, or PoDs are used as the starting
6 point for determining risk. In our appraisal, we used a
7 PoD based on 10 percent red blood cell
8 acetylcholinesterase inhibition.

9 And we used human equivalent doses estimated by a
10 pharmaco -- well, I'll just say PBPK-PD model,
11 physiologically-based pharmacokinetic-pharmacodynamic
12 model; and this model-derived acute PoDs for oral
13 exposure; and a model-derived 21-day, or steady-state, PoD
14 for inhalation, dermal, and oral exposures.

15 --o0o--

16 DR. DuTEAUX: Briefly about the PBPK-PD model.
17 It predicts a time course of chlorpyrifos metabolism in
18 humans. It incorporates red blood cell
19 acetylcholinesterase inhibition, reactivation, and
20 regeneration after exposure to chlorpyrifos.

21 The pharmacokinetic data were derived from human
22 studies, and both human liver microsomes and plasma that
23 were used to represent metabolic variability across a
24 broad range of ages, as well as inputs that attribute for
25 life stages in infants, children, and adults.

1 The model is able to do multi-route human
2 exposure parameters, including oral, dermal, and
3 inhalation. And it's undergone numerous scientific
4 evaluations; the most recent update we received was in
5 September of 2017.

6 --o0o--

7 DR. DuTEAUX: This is a list of the points of
8 departure in our current risk assessment. And as I
9 mentioned, the steady-state inhalation for children 1 to 2
10 and females of a childbearing age is the 21-day PoD; so
11 just to clarify that.

12 The number you see here, 2370 $\mu\text{g}/\text{m}^3$, the air
13 concentration, the point of departure - or if you
14 calculate the reference dose by dividing by an
15 uncertain -- total uncertainty factor of a hundred to 23.7
16 micrograms per meter cubed - is the number that we talk
17 about in the body of the document. However, in the risk
18 appraisal you will note that we have an additional
19 discussion about this particular number.

20 So I wanted to go into a little bit of detail
21 about how we've changed slightly from the number as you
22 see it here.

23 --o0o--

24 DR. DuTEAUX: First of all, Dow AgroSciences
25 commented during the public comment period that the

1 steady-state, 21-day, inhalation point of departure --
2 sorry, there's a misspelling there -- for children aged 1
3 to 2 years old presented in the U.S. EPA 2014 risk
4 assessment would not achieve a 10 percent inhibition. In
5 other words it's not enough of a concentration in air to
6 achieve that inhibition level.

7 So in a separate analysis requested by our
8 department, Dow used our default physiological parameters
9 for children 1 to 2 years old to estimate the air
10 concentration, which they came up with as 3,000 µg/m³, and
11 that was the number that they found resulted in 10 percent
12 RBC acetylcholinesterase inhibition after a one-hour
13 exposure for 21 days.

14 Then we did our own independent analysis and --
15 to estimate a one-hour-per-day, 21-day steady-state PoD
16 value for inhalation; and we used the latest version,
17 i.e., the September 2017 PBPK-PD model, and the model
18 input parameters as were specified in the 2014 U.S. EPA
19 risk assessment, and came up with a resulting PoD of 2850
20 µg/m³.

21 --o0o--

22 DR. DuTEAUX: So altogether you can see our
23 previous number 2370 - and that's also the number that EPA
24 used in 2014, and that's in two of our previous risk
25 assessments - the number from Dow, which is 3,000; and the

1 resulting number, 2850 $\mu\text{g}/\text{m}^3$. This is our new 21-day
2 steady-state point of departure that will be used by DPR
3 for finalization of the chlorpyrifos TAC document.

4 PANEL MEMBER BLANC: Just to clarify - and this
5 will be something I think I will be coming back to, and
6 other panel members - but just to be absolutely clear,
7 your PBPK modeling -- which is based on human data, not
8 another species, correct?

9 DR. DuTEAUX: (Nods head.)

10 PANEL MEMBER BLANC: -- is -- and which gives you
11 a point of departure for 10 percent acetylcholinesterase
12 inhibition -- which is the threshold at which one can be
13 secure that it occurs, correct?

14 DR. DuTEAUX: Yes.

15 PANEL MEMBER BLANC: So the PBPK model completely
16 does not include any of the observe -- does not take into
17 account any of the observations from animal studies that
18 show neurodevelopmental adverse effects at levels below
19 which we believe acetylcholinesterase is inhibited and
20 therefore it is as likely as not that some other
21 pharmacodynamic mechanism is coming into play. Am I
22 correct in those -- in that assumption of what you're
23 assuming?

24 DR. DuTEAUX: So there were multiple questions in
25 there, so I'll try and tease them out and also probably

1 rely on Dr. Kwok to answer some of them.

2 So the current model, even with its most recent
3 updates, uses the endpoints acetylcholinesterase
4 inhibition, not any neurodevelopmental effects.

5 PANEL MEMBER BLANC: Well, that's not -- actually
6 that's not exactly my -- my question is one step removed
7 from that.

8 DR. DuTEAUX: Okay.

9 PANEL MEMBER BLANC: It relies on
10 acetylcholinesterase at 10 percent, and therefore presumes
11 that any pharmacodynamic effect which occurs that is
12 relevant is driven by detectable acetylcholinesterase
13 inhibition.

14 DR. DuTEAUX: I think that's a correct
15 assumption; that's right.

16 Erik.

17 DR. KWOK: Not so sure, because I -- I think you
18 go back to the rationale of the PBPK model construction.
19 Because -- I mean generally you develop a model to answer
20 the question that you're interested in. So in that -- for
21 this particular model, the model was designed to address
22 the cholinesterase inhibition. So the entire model
23 actually be around that -- you know, the kinetic portion
24 and then how the kinetic couple with the pharmacodynamic,
25 which the endpoint of concern is a cholinesterase

1 inhibition. That's all this, you know, intended for. So
2 anything outside that, I'm not sure that's --

3 PANEL MEMBER BLANC: Well, I'm quite sure it
4 doesn't take into account anything outside of that.
5 You're just confirming what would be logical based on what
6 you're presenting. I just want to be clear that I haven't
7 somehow missed the boat on that. That the entire precept
8 of the PK-PD model is acetylcholinesterase inhibition.

9 In fact, you bring up a good point, which is
10 actually there are aspects of the pharmacokinetic piece of
11 this which could be -- which also assume that what really
12 matters is the metabolism insofar as it impacts the
13 ability of this chemical to inhibit cholinesterase.

14 So, for example, if it was a downstream
15 metabolite that was actually causing a different problem,
16 let's say, acting as a cannabinoid receptor effect, and
17 that wasn't from the parent acetylcholinesterase, that
18 could be an issue.

19 Similarly, if that effect was driven by the
20 parent compounds but not by the oxime compound, which we
21 know is a more potent inhibitor, then anything with --
22 then you would sort of discr -- you would -- a
23 pharmacokinetic model would have to take that into account
24 too in a different way than the standard pharmacokinetic
25 piece of your PK-PD model.

1 Is that correct? Am I understanding all of that
2 correctly?

3 DR. KWOK: I'm not sure that, you know, The focus
4 of the model cholinesterase inhibition automatically have
5 like discount the occurrence of -- if I understood your
6 comment correctly, discounting any other effect. It
7 really depends on actually how you use the model, because
8 the model in -- in addition to the cholinesterase
9 inhibition, it can generate the chlorpyrifos concentration
10 in the blood. So if the blood turns out to be associated
11 with all the effective interest, that you can also
12 actually establish to see whether there's a correlation.

13 So I think my question -- my answer to your
14 question is that the intention is to look at the
15 cholinesterase inhibition, but it doesn't mean that we --
16 the model kind of like discount anything, you know,
17 outside the cholinesterase inhibition. You can always --

18 PANEL MEMBER BLANC: Well, on the pharmacokinetic
19 part. Pharmacodynamic, without question, anything that's
20 not acetylcholinesterase inhibition is not counted in this
21 model.

22 DR. KWOK: Yes, that's correct.

23 PANEL MEMBER BLANC: And the other thing is a bit
24 more of an open question. You'd have to delve pretty
25 deeply into it to figure out the nuance of what's being

1 weighted and how. Right?

2 DR. KWOK: Yes.

3 PANEL MEMBER BLANC: Okay.

4 DR. DuTEAUX: Interesting questions.

5 CHAIRPERSON KLEINMAN: But this chart, what we're
6 saying here is that an inhalation exposure at an average
7 level of 2850 averaged over a 21-day period is the point
8 of departure?

9 DR. DuTEAUX: Well, rather it should say that an
10 exposure of 2850 $\mu\text{g}/\text{m}^3$ for one hour a day for 21 days
11 results in 10 percent acetylcholinesterase inhibition. Is
12 that correct?

13 Yeah. And just again to underline the point that
14 the previous inhalation concentration for our 21-day PoD
15 of 2370 is now revised upwards slightly, based on our
16 reanalysis since the public comment period in September.

17 --o0o--

18 DR. DuTEAUX: Now, onto the Exposure Assessment.

19 --o0o--

20 DR. DuTEAUX: Again, we looked at
21 non-occupational bystanders, including residents.
22 Short-term exposures, less than 24 hours from a single
23 application. And there were two populations of concern
24 again: Females in childbearing age; and children 1 to 2
25 years old.

1 And we looked at indirect exposure associated
2 with primary spray drift, including ground boom, orchard
3 airblast, and aerial applications.

4 And the routes of exposure that we assessed were
5 dermal; oral, including non-dietary incidental ingestion
6 by children; inhalation; exposures from food and drinking
7 water; as well as aggregated exposures.

8 --o0o--

9 DR. DuTEAUX: The exposure assessment approach
10 that we adopted is based on the U.S. EPA spray drift
11 methods, published by Jeff Dawson in 2012 - and that was
12 to determine expected environmental concentrations - as
13 well as the U.S. EPA standard operating procedures for
14 residential exposure assessment, or their SOP from 2013,
15 to do the exposure calculations.

16 In addition, we used computer simulation modeling
17 to estimate spray drift, both the horizontal deposition
18 and one-hour time-weighted average air concentrations.

19 --o0o--

20 DR. DuTEAUX: The spray drift models that were
21 used include AgDRIFT version 2.0.5 and 2.1.1, which are
22 empirical, or curve-fit, models. And those were for
23 deposition only as well as for appli -- reflects
24 application methods for both ground boom and airblast.

25 And AGDISP's version 8.28. This is a well-vetted

1 Lagrangian First Principles model that follows the
2 behavior of droplets after they're released from aircraft
3 nozzles.

4 A Comparison of the AGDISP output with measured
5 field data have shown that the model tends to overestimate
6 field measurements. So it likely is an overestimation of
7 residential bystander exposure estimates or it's a
8 conservative approach.

9 It also models deposition and air concentrations.

10 And it can be used for application methods
11 including fixed-wing aircraft and rotary aircraft.

12 --o0o--

13 DR. DuTEAUX: We used reasonable worst-case model
14 inputs, including that the ground boom and orchard
15 airblast worst-case application methods were assumed as --
16 and, Terry, I apologize. We used aerial applications as
17 the surrogate for ground boom and orchard airblast,
18 correct?

19 DR. BARRY: Correct.

20 DR. DuTEAUX: Sorry about that.

21 But because of the data that we have, we looked
22 at dormant apple applications, which is one of the highest
23 rates of application, as well as high boom ground boom
24 application.

25 And for the aerial application, the reasonable

1 worst case, it was based on the reasonable worst-case
2 agricultural aircraft type in California, and based on a
3 DPR Enforcement county survey. So these are kind of --
4 the modeling is based on actual use cases in California.

5 And we also used real-world meteorological
6 conditions for the San Joaquin Valley, which were chosen
7 to produce the highest model of downwind application -- or
8 deposition.

9 --o0o--

10 DR. DuTEAUX: So putting together the risk
11 assessment and the PoDs, we were calculating risks margins
12 of exposure, which are the ratio of the point of departure
13 to the estimated human exposure level. So the PoD we
14 mentioned as 2850 $\mu\text{g}/\text{m}^3$ and the estimated human exposure
15 derived from the modeling.

16 A target, more a margin of exposure of 100 is
17 generally considered protective. And for this particular
18 risk assessment, that target uncertainty factor allows for
19 the following:

20 1 for a interspecies sensitivity;
21 10 for intraspecies variability; and
22 10 for potential neurodevelopmental effects.

23 The margins of exposures were calculated from
24 route-specific points of departure that were mentioned
25 earlier. And we also used aggregate, or combined, MOEs

1 calculated through exposure to skin contact, mouthing
2 activities, breathing, eating, and drinking.

3 --o0o--

4 DR. DuTEAUX: In all of these calculations we
5 determined that two exposure scenarios had -- we estimate
6 to have no health risks or that were above the margin of
7 exposure of 100, and no risk to our at-risk populations of
8 children and women in childbearing age. And those include
9 dietary exposure only - that means residue in food and
10 drinking water - or exposure from dermal exposures
11 resulting from spray drift.

12 --o0o--

13 DR. DuTEAUX: However, our calculations came up
14 with results showing that exposure scenarios -- several
15 exposure scenarios might have potential health risks or
16 that have margins of exposures below 100. And those
17 include:

18 Hand-to-mouth exposure in children, meaning that
19 incidental hand-to-mouth activity, eating dirt, mouthing
20 objects, et cetera.

21 Inhalation exposure to children and females of
22 childbearing age.

23 And various aggregate exposures from combined
24 media, including food, drinking water, and deposition from
25 spray drift.

1 And it's important to note that the exposure to
2 aerosols in the air near application sites was the main
3 driver when the aggregate MOEs were less than a hundred.
4 That's why this is being considered as a toxic air
5 contaminant.

6 --o0o--

7 DR. DuTEAUX: The key conclusions from our risk
8 assessment are as follows:

9 That the database on chlorpyrifos inhibition of
10 acetylcholinesterase is extensive.

11 It also supports the establishment of
12 dose-response that can be re-created at specific dose
13 levels in animals.

14 At this time it is not possible to determine a
15 quantitative dose-response or dose-effect relationship
16 based on any human endpoint. Therefore these studies
17 cannot be used as the scientific basis for our regulatory
18 target.

19 The lack of dose-response or a clear mechanism of
20 action does not negate the results showing potential
21 associations between in utero human exposure and human
22 development, and new data will be evaluated as they become
23 available.

24 This bullet above is the basis for why we chose a
25 tenfold uncertainty factor for a neurodevelopmental

1 toxicity.

2 And new animal data identifying developmental
3 neurotoxicity at doses lower than acetylcholinesterase
4 were added in the recent version. And new data that we
5 didn't include in the version are also being analyzed
6 right now. We will continue to consider the suitability
7 of deriving a point of departure specific to developmental
8 neurotoxicity in the final toxic air contaminant
9 evaluation document.

10 So those are our key conclusions.

11 CHAIRPERSON KLEINMAN: Thank you.

12 PANEL MEMBER BLANC: Can you go back to that
13 slide for a second.

14 When you write the bullet number 3, "At this
15 time, it is not possible to determine a quantitative
16 dose-response or dose-effect relationship based on any
17 human endpoint; therefore these studies cannot..." do you
18 mean any human endpoint of neurodevelopmental toxicity? I
19 mean the human endpoint that you're using is
20 acetylcholinesterase inhibition. I mean is that --

21 DR. DuTEAUX: You're correct. I should have
22 clarified that, human neurodevelopmental endpoint.

23 PANEL MEMBER BLANC: Uh-huh. And the last one,
24 which is -- we're also not using any experimental animal
25 neurodevelopmental endpoint either at this time, but we

1 might. Is that what you're saying?

2 DR. DuTEAUX: That's what we're saying.

3 PANEL MEMBER BLANC: But wouldn't that completely
4 change everything that you've done if you do that?

5 DR. DuTEAUX: As our meeting with Stan Glantz
6 pointed out, it would require a complete revision of this
7 document.

8 PANEL MEMBER BLANC: Uh-huh. So --

9 PANEL MEMBER GLANTZ: Well, wait, wait.

10 (Laughter.)

11 PANEL MEMBER GLANTZ: Okay. I just want to say
12 first for the record, all the questions I was going to ask
13 about got asked by somebody else. So that was great.

14 But what I was suggesting was that one would not
15 want to have to go do a complete revision of the whole
16 document, okay. But I do think that -- because I -- I
17 mean I think that the neurodevelopmental endpoints are
18 very important. And what I was, you know, talking about
19 on the phone call we had was whether that could be taken
20 into account by adjusting the uncertainty factors, or
21 something short of going back and completely revising the
22 document. So I just want to --

23 DR. DuTEAUX: And we actually have a table. We
24 developed a table to try and answer your question, to show
25 what our current PoD is, what it would be if we use the

1 animal DNT study, and compared to EPA's 2016 number. And
2 we'd be happy to bring that up now for discussion or later
3 after OEHHA has a chance to go over the findings.

4 PANEL MEMBER GLANTZ: Okay. Well, that -- it's really
5 nice that you did that. I'll leave it up to the Chair to
6 determine when you present that. But I'm looking forward
7 to seeing it.

8 CHAIRPERSON KLEINMAN: Okay. So --

9 PANEL MEMBER GLANTZ: So is Kathy.

10 (Laughter.)

11 CHAIRPERSON KLEINMAN: On that note. What I'd
12 like to do is while OEHHA is setting up to discuss their
13 findings on the document, that we take a 10-minute recess
14 for getting everything turned over and give people a
15 chance to deal with biological necessities if necessary.

16 PANEL MEMBER BLANC: Is that sufficient for our
17 stenographer? Is 10 minutes sufficient?

18 Okay. Thank you.

19 CHAIRPERSON KLEINMAN: Thank you.

20 (Off record: 11:46 a.m.)

21 (Thereupon a recess was taken.)

22 (On record: 12:03 p.m.)

23 CHAIRPERSON KLEINMAN: Okay. I'd like to call
24 this meeting back to order. If everybody will take their
25 seats, please.

1 All right. The next thing on our agenda is a
2 presentation on OEHHA findings on the draft TAC document.
3 And that will be presented by David Ting and Lori Lim.

4 And I'll turn it over to you.

5 (Thereupon an overhead presentation was
6 Presented as follows.)

7 DR. TING: Good afternoon. Members of the
8 Scientific Review Panel. I am David Ting, Chief of
9 Pesticide and Environmental Toxicology Branch at the
10 Office of Environmental Health Hazard Assessment, or
11 OEHHA. Sitting to my right is Dr. Lori Lim, Chief of
12 Pesticide and for the Toxicology section.

13 We're here to discuss with you OEHHA findings on
14 DPR's draft TAC document for chlorpyrifos.

15 Also in the room are Dr. Heather Bolstad,
16 Dr. James Nakashima over here, and Dr. Rima Woods, and Dr.
17 Ouahiba Laribi. They are part of the team who carried out
18 the review.

19 --o0o--

20 DR. TING: As you have heard --

21 DR. DuTEAUX: David, check the cord in the back
22 screen particularly, make sure it's plugged in.

23 PANEL LIAISON BEHRMANN: I'll get someone.

24 --o0o--

25 DR. TING: Okay. As you have heard from the

1 previous presentation, DPR has developed a draft risk
2 assessment on chlorpyrifos, and it will be used in
3 considering whether to identify the chemical as a toxic
4 air contaminant.

5 OEHHA is required by law to prepare findings on
6 this risk assessment. Our findings have been submitted to
7 the Panel and DPR, and they're also posted at OEHHA and
8 DPR websites, as indicated on this slide.

9 Overall, we agree with the approach of using
10 margin of exposure to quantify the risk of residential
11 bystanders exposed to chlorpyrifos. Our primary
12 recommendations cover: Evaluation of additional toxicity
13 endpoints and re-examining how to address the
14 uncertainties in the assessment.

15 We also agree with the determination that
16 bystanders can be exposed to air concentrations of
17 chlorpyrifos that exceed the health protective level.

18 Our findings support the identification of
19 chlorpyrifos as a toxic air contaminant.

20 With that, I'm going to turn the microphone over
21 to Dr. Lim. She is going to describe in detail some of
22 our more important findings.

23 --o0o--

24 DR. LIM: Hi. I'm Lori Lim.

25 Is that better?

1 Hi. My name's Lori Lim. I will be presenting
2 the findings directly related to the determination of risk
3 for bystanders' exposure to chlorpyrifos. They are
4 toxicity endpoints, points of departure, exposure
5 assessment, and uncertainty factors.

6 Next.

7 --o0o--

8 DR. LIM: And my presentation will start with
9 finding number 8, which is using the RBC
10 acetylcholinesterase, AChE, inhibition as the critical
11 toxicity endpoint for chlorpyrifos.

12 RBC AChE activity is a sensitive marker of
13 systemic AChE inhibition. It is often used as a surrogate
14 for AChE's activity in the nervous system because it is
15 easy to take blood samples and the I -- enzyme is
16 essentially the same forms in both tissues.

17 Toxicity studies show that with chlorpyrifos, RBC
18 AChE is often more sensitive than brain AChE. The data in
19 Table 1 illustrates this point.

20 The experiment was conducted with two groups of
21 young rats postnatal day 11, and adult female rats given
22 chlorpyrifos by gavage. At 0.5 milligrams per kilogram
23 per day there was no significant inhibition for either RBC
24 or brain AChE, indicated by the low values under each of
25 the three groups.

1 At 2 milligram per kilogram more inhibition was
2 measured for RBC, indicated as minus 36 percent, 31
3 percent; than for the brain, which is 2 percent and minus
4 7 percent for the pups.

5 In addition, more inhibition was detected for the
6 pups' RBC inhibition compared to the adult RBC. So we
7 have 36 and 31 for RBC at 2 milligram per kilogram versus
8 19 percent for adult female. This is one of the data sets
9 to illustrate this point.

10 --o0o--

11 DR. LIM: The next finding is on developmental
12 neurotoxicity, or DNT, as a potential critical endpoint
13 for risk assessment. There are numerous animal and human
14 studies showing exposure to chlorpyrifos is associated
15 with DNT. And I'm saying that DNT is a simple
16 developmental neurotoxicity but encompasses many effects.

17 In some rat and mouse studies, chlorpyrifos
18 exposure during gestation and postnatal periods was found
19 to cause DNT effects on cognition, anxiety, social
20 behavior, and motor activity.

21 In some studies, these DNT effects were observed
22 at doses that show minimal or no brain
23 acetylcholinesterase inhibition. This point was talked
24 about with Dr. DuTeaux's presentation. This mainly
25 referred to a study by Carr, et al., in 2017, in which the

1 neurobehavioral effects were observed at 0.5 milligram per
2 kilogram per day. But brain acetylcholinesterase
3 inhibition were 4 percent decrease at 0.5, 4 percent at
4 0.75, and 19 percent at 1 milligram per kilogram per day.

5 --o0o--

6 DR. LIM: OEHHA's view of the DPR observations
7 from a registrant study and those in recent published
8 literature are presented in table 2 on the next slide.

9 --o0o--

10 DR. LIM: These recent animal studies were
11 conducted with low doses, which can provide the basis for
12 improved dose-response relationship analysis compared to
13 the earliest study, which are mainly conducted with either
14 a single dose or high doses.

15 In this table, the first study, Hoberman, 1998,
16 is the FIFRA guideline study, and the rest are published
17 reports.

18 GD is gestational day, LD is lactational day, and
19 PND is postnatal day.

20 In the Hoberman study the most sensitive endpoint
21 was reduced parietal cortex thickness in the pup, measured
22 on day -- 66 days old.

23 Note that the effect observed -- the effective
24 dose is set at 1 milligram per kilogram. And there are no
25 data for the lowest dose group of 0.3 milligrams per

1 kilogram per day.

2 The key points about the published studies are:
3 All were conducted by the oral route and a range of doses
4 were tested. The pups were exposed to chlorpyrifos during
5 gestation and/or postnatal period but were test much later
6 after exposure. The lowest effective dose was at the same
7 level of 0.1 milligram per kilogram per day from four
8 report.

9 Effect of the low doses were anxiety, motor
10 activity, and spatial learning.

11 Next.

12 --o0o--

13 DR. LIM: The human study support the
14 consideration of DNT as a critical endpoint. They are
15 epidemiological studies looking at organophosphate
16 exposure and toxicity.

17 Three major prospective birth cohort study have
18 shown association between exposure to organophosphates,
19 including chlorpyrifos, during pregnancy and adverse
20 neurodevelopmental outcomes.

21 The exposure level would generally measure as
22 metabolites in the urine.

23 The Columbia study measured the most
24 comprehensive measurement, in that it measured
25 chlorpyrifos as well as TCPy, the specific metabolite of

1 chlorpyrifos.

2 Chlorpyrifos was measured in the maternal blood
3 within one day of post-delivery and fetal cord blood at
4 delivery as well as TCPy in the urine of the maternal and
5 fetal -- and fetus as well as the urine and meconium.

6 However, it is difficult to use these exposure
7 data to establish direct dose-response relationships using
8 approaches for laboratory animal studies. One problem is
9 when the chemical level is measured for a single time
10 point while the associated effects are measured much
11 later.

12 Additional evidence of DNT for chlorpyrifos comes
13 from zebrafish studies and in vitro model for DNT.
14 Zebrafish requires a certain level of AChE for normal
15 development. When zebrafish is exposed to chlorpyrifos,
16 it showed abnormal behavior and inhibition of AChE
17 activity.

18 There are many possible modes of action for
19 chlorpyrifos-induced DNT effects. But there is no
20 definitive conclusion. This can explain the variety of
21 DNT effects observed in human and animal studies.

22 --o0o--

23 DR. LIM: Finding 13 is a summary of findings on
24 critical endpoints. For all exposure scenarios evaluated
25 in the draft TAC document, the critical toxicity endpoint

1 used was 10 percent inhibition of RBC acetylcholinesterase
2 activity. While AChE inhibition is a sensitive toxicity
3 endpoint, other endpoints such as DNT and respiratory
4 toxicity effects may be more sensitive as well as
5 toxicologically more relevant.

6 Next.

7 --o0o--

8 DR. LIM: The next topic is point of departure
9 for the critical toxicity endpoint. The point of
10 departure is the starting point of the low dose
11 extrapolation and is used to determine the health risk,
12 calculated as the margin of exposure associated with a
13 certain exposure level. Generally the duration of the
14 point of departure matches that for human exposure
15 duration.

16 In the draft TAC risk assessment the acute
17 single-day inhalation dermal and incidental oral exposures
18 were evaluated using a steady-state point of departure for
19 RBC AChE inhibition. The use of that steady-state point
20 of departure is said to compensate for background exposure
21 to chlorpyrifos and cumulative RBC AChE inhibition.

22 OEHHA finds that this is a conservative approach
23 but notes that it may add uncertainty to the risk estimate
24 for this point. That is, the actual exposure is adjusted
25 by the toxicity or point of departure rather than by a

1 better estimation of the exposure level.

2 --o0o--

3 DR. LIM: Another point from finding 14 is that
4 since the point of departure was derived from a PBPK
5 model, it would be helpful to explain the factors driving
6 the values.

7 For the inhalation exposure, the point of
8 departure expressed as air concentration is about
9 3-and-a-half -- 2-and-a-half-fold lower for children than
10 females. However, the point of departure in terms of
11 dose, milligram per kilogram per day, adjusting for
12 respiration rate but not for the one-hour-per-day exposure
13 for the two age groups, so that they're very similar.

14 On the other hand, the dermal point of departure
15 for children is more than fivefold higher than that for
16 female group.

17 --o0o--

18 DR. LIM: Finding 15 is related to the last point
19 on the steady-state point of departure, but the
20 uncertainty for the values.

21 In the inhalation component of the model,
22 chlorpyrifos is modeled as dry particles of relatively
23 small sizes. In contrast, the bystander's inhalation
24 exposure to chlorpyrifos is a spray drift cloud comprised
25 of liquid aerosol droplets of varying sizes. The

1 pharmacokinetics of chlorpyrifos of these two forms could
2 be different at different deposition sites.

3 In addition, the steady-state inhalation
4 component model has not been validated. There are no
5 subchronic inhalation animal or human toxicity data
6 suitable for this purpose.

7 The only human inhalation exposure toxicity was a
8 combined acute dermal and inhalation study by Vaccaro, et
9 al. This study will be discussed in finding 22.

10 --o0o--

11 DR. LIM: Finding 16 is on exposure assessment.
12 The acute exposure is for one- to one-and-a-half hour per
13 day. The TAC document estimated exposure for individual
14 routes and all routes for spray drift shown in the first
15 row. In addition it included a spray drift in dietary
16 aggregate exposure in the second row of the table, but
17 only for children 1 to 2 years old, but not for female --
18 or adults.

19 While children often have the higher intake on a
20 body weight basis, and slightly a more sensitive group,
21 the risk of these two groups can't be compared based on
22 exposure levels alone. The risks for the female group
23 needs to be determined given that the point of departure
24 for this group are different than those for children.

25 --o0o--

1 DR. LIM: Finding 17 is also on exposure
2 assessment on the use of surrogate air concentration. We
3 agree that the use of the surrogate aerosol concentration
4 is appropriate. The concentrations are likely
5 conservative estimates with a ground boom and airblast
6 applications. It is the best available method to estimate
7 the air concentration for these applications. They're
8 similar to air monitoring data by the California Air
9 Resources Board for airblast applications.

10 However, they could be underestimates for a
11 specific scenario when there is little or no foliage,
12 resulting in more spray drift than predicted.

13 --o0o--

14 DR. LIM: Finding 19 is on exposure, in this case
15 the need to consider chlorpyrifos vapor exposure. The
16 concern is for residential bystanders who also live close
17 by. There has been several questions about what's the
18 definition of bystander. And the way we interpreting it,
19 a residential bystander is somebody who can be walking by
20 the field as well as living near the area.

21 They can have aggregate exposure to both vapor
22 and aerosol. Release of chlorpyrifos as vapor from
23 deposit is possible in areas where the summer temperature
24 is high.

25 The relationship between temperature and vapor

1 pressure is shown in this graph on the slide, where
2 increasing the temperature from about 70 degrees to about
3 100 degrees causes a 14-fold change.

4 And this exposure to chlorpyrifos vapor may last
5 for many hours compared to the one-hour exposure duration
6 to the aerosol. Thus the effect of temperature on
7 chlorpyrifos is an important factor to consider.

8 In addition, we want to note that the U.S. EPA
9 added vapor pressure -- vapor exposure estimate in the
10 2014 revised health risk assessment.

11 --o0o--

12 DR. LIM: The remaining slides of this
13 presentation are on uncertainty factors, starting with
14 finding 22 on interspecies uncertainty factor. This
15 uncertainty factor is an extrapolation factor used when
16 data from animal study are used to extrapolate to human
17 response. The full factor is tenfold, and it is reduced
18 to one when adequate human data are used.

19 OEHHA recommends that interspecies uncertainty
20 factor of threefold because there are uncertainties in the
21 PBPK model with simulated pharmacokinetic and
22 pharmacodynamic of chlorpyrifos in human. The model is
23 not equivalent to a well-conducted human study because
24 some of the model parameters were derived from animal
25 studies. There were limited human data from model

1 validation, as will be shown in the next slide.

2 Furthermore, the model has not been adequately validated
3 for human steady-state exposure for any route.

4 --o0o--

5 DR. LIM: The table in this slide is a summary of
6 the human studies presented in our finding. It shows the
7 acute human studies used to validate the acute and
8 steady-state outputs of the PBPK-PD model. The data from
9 the first study were also used to derive model parameters.

10 There's a Nolan, et al., study for the oral and
11 dermal route; the Kisicki, et al., study for the oral
12 route; and the Vaccaro study for dermal and inhalation
13 combined.

14 The key points of these studies are there are few
15 subjects and they are all adults. Three of the four
16 studies use single-dose, one-time acute exposure. RBC
17 AChE's inhibition, the critical endpoint basis for the
18 point of departure, was observed in only one subject and
19 in one study, and that's the one by Kisicki, et al.

20 It should be noted in the Vaccaro study, a slow
21 release formulation was used. This formulation shows
22 lower peak air concentration by about fourfold and much
23 lower toxicity than non-encapsulated chlorpyrifos.

24 In this study, the subjects were exposed to
25 chlorpyrifos by dermal and inhalation routes. So the

1 subjects wore suits, in which they roll around on the
2 floor to simulate kids' activities. And that's -- and
3 then these -- the material's cut up and measured for
4 dermal absorption.

5 More importantly, RBC acetylcholinesterase
6 activity was not measured.

7 --o0o--

8 DR. LIM: Finding 23 is on intraspecies
9 uncertainty factor, which is used to account for
10 differences in response to a chemical between humans or
11 inter-individual variability in the human population.

12 An intraspecies uncertainty factor of 30 is
13 needed to fully account for the potential variability in
14 both pharmacokinetics and pharmacodynamics in the human
15 population response to chlorpyrifos.

16 The PBPK-PD model did not fully account for the
17 physiological, anatomical, and biochemical changes during
18 pregnancy and among different age groups. For PK --
19 pharmacokinetic considerations, sensitive parameters
20 related to metabolic clearance of chlorpyrifos and its
21 oxone were based on in vitro data from a small number of
22 plasma and liver postmortem tissues as shown in this
23 table.

24 Note on this table that there are no more than 10
25 samples per age group; and for the age group of children 1

1 to 2 years old, there are only one plasma sample and five
2 liver samples.

3 --o0o--

4 DR. LIM: In addition to pharmacokinetics there's
5 a need to account for the variability due to
6 pharmacodynamic aspect of the RBC AChE inhibition. The
7 reported coefficient of variation for the parameters
8 describing the inhibition are relatively small. For
9 example, the inhibition rate was derived from all male
10 adults. It is unclear how representative the mean CV
11 values are for the general population. It is known that
12 RBC AChE activity varies with age, pregnancy, and even
13 between healthy adults.

14 Another consideration is whether the intraspecies
15 uncertainty factor derived for RBC AChE inhibition would
16 be applicable for DNT. Many factors can influence an
17 individual's susceptibility to developmental
18 neurotoxicants, potentially resulting in a large
19 inter-individual variability.

20 --o0o--

21 DR. LIM: The final finding is finding 24, an
22 additional uncertainty factor. This factor is applied
23 because the DNT may be a more sensitive endpoint compared
24 to RBC AChE inhibition. This factor is part of the Food
25 Quality Protection Act on the evaluation of pesticide

1 tolerance in food. The Act requires the U.S. EPA to apply
2 this factor when there's potential pre- and postnatal
3 toxicity and incomplete exposure and toxicity data for
4 infants and children.

5 In the draft TAC risk assessment the tenfold
6 factor was applied because the DNT data were considered
7 inadequate to use -- to form the basis for a point of
8 departure determination.

9 In our view, the use of this factor in a
10 surrogate endpoint of RBC AChE's inhibition adds
11 uncertainty to the risk characterization. Thus, in light
12 of the more recent published report, so it's a body of
13 evidence of human epi studies, we would recommend a
14 thorough evaluation of these DNT studies to see if a point
15 of departure for DNT can be directly determined.

16 --o0o--

17 DR. LIM: This ends my presentation.

18 Any questions?

19 CHAIRPERSON KLEINMAN: Thank you.

20 So let's open this up for some brief questions by
21 the Panel if --

22 PANEL MEMBER BUCKPITT: Dr. Lim, I had a question
23 on your I think fourth slide, the finding 8. Are there
24 any data out there in the animals -- you have inhibition
25 here in pups and adult females. From 2 milligrams per

1 kilogram; that's a single dose, right?

2 DR. LIM: (Nods head.)

3 PANEL MEMBER BUCKPITT: Are there any data out
4 there for multiple doses in a dose response?

5 DR. LIM: This is the dose representative what
6 the group has is 2. And then in this same experiment
7 another group had 0.5.

8 PANEL MEMBER BUCKPITT: Right. But are there any
9 studies where they give 0.5 per day for several days?

10 DR. LIM: Yes, this is a multiple --

11 PANEL MEMBER BUCKPITT: Oh, I didn't know.

12 DR. LIM: These are a single day's experiment.
13 But there are multiple-day experiment. It's quite
14 detailed to describe in the TAC document with multiple
15 doses.

16 PANEL MEMBER BUCKPITT: Okay.

17 PANEL MEMBER ANASTASIO: Thank you.

18 I have a question actually related to the OEHHA
19 findings document. Can I ask you about that.

20 DR. LIM: Sure.

21 PANEL MEMBER ANASTASIO: So on point 25, the last
22 page, page 14.

23 Okay. So the you say that the target MOE would
24 be a thousand based -- well, actually that's based on the
25 OEHHA analysis, right, the uncertainty factors you were

1 just talking about, factor of 3, a factor of 10, a factor
2 of 30, gives you a factor of a thousand.

3 PANEL MEMBER GLANTZ: Which finding? What page
4 are you on?

5 PANEL MEMBER ANASTASIO: 25. Sorry, these the
6 OEHHA -- OEHHA finding document?

7 PANEL MEMBER GLANTZ: No, those are --

8 DR. LIM: It's the finding memo itself.

9 PANEL MEMBER GLANTZ: It's the December 2017
10 document.

11 No, that's not it.

12 That's DPR's response to OEHHA.

13 PANEL MEMBER ANASTASIO: You gave us a lot of
14 documents.

15 DR. LIM: We're trying to make it short.

16 (Laughter.)

17 PANEL MEMBER ANASTASIO: I appreciated that.

18 Okay. So based on uncertainty factors for DPR,
19 they came up with a total uncertainty factor of 100.

20 OEHHA is saying total uncertainty factor of a thousand.

21 But then in that last paragraph, the first sentence:
22 "Consideration of OEHHA's above findings on the
23 uncertainty factors could result in a higher TAC target
24 MOE of at least 10,000." Can you explain the 10,000?

25 DR. LIM: It is very confusing, because we have

1 health risk assessment, okay, which we have the standard,
2 you know, uncertainty factors. But under the Toxic Air
3 Contaminant Act, the criteria for it to be listed is
4 tenfold lower. So it flips the equation to meaning that
5 you require a higher margin of exposure.

6 PANEL MEMBER ANASTASIO: Oh, so this is an
7 additional factor of 10 --

8 DR. LIM: Yes.

9 PANEL MEMBER ANASTASIO: -- over the total
10 uncertainty factor?

11 DR. LIM: Yes. That's why we -- we specified as
12 a TAC target versus a regular target. Because the MOE is
13 the point of departure divided by the exposure.

14 PANEL MEMBER ANASTASIO: Right.

15 DR. LIM: So normally with the -- with the DPR
16 document you want that to be a hundredfold difference.
17 That's the hundred. But under TAC you want that
18 difference to be a thousand. So essentially you're
19 dividing the point of departure by 1,000 to get the
20 reference dose.

21 PANEL MEMBER GLANTZ: Right. But the -- I had
22 the same question. So I can see that. But then it -- I
23 said, are you saying that the margin of exposure should be
24 10,000? Because that's what it seemed like you were
25 saying at the end of that sentence.

1 So is that what you guys are --

2 DR. LIM: Yeah. You apply the math on what's a
3 TAC criteria is, then it would be 10,000 from our 1,000,
4 yes.

5 PANEL MEMBER HAMMOND: I think maybe it might be
6 clearer if you laid out each of -- you know, there are so
7 many places the uncertainty factors are coming in -
8 intraspecies, interspecies, different ages, all of these.
9 If you were actually to lay them out, there's this factor,
10 there's this factor. At one point there was a 30 factor.
11 So I'm surprised we don't end up with a 3 in the front of
12 what -- 3 times 10 to whatever it is.

13 But if you were just to kind of lay each -- you
14 know, each of the factors out, it might clarify for
15 everybody.

16 DR. TING: That's a good suggestion.

17 Just to get back to your question, the first
18 paragraph of our finding number 25 explain why this
19 additional 10 is specific to the TAC program.

20 PANEL MEMBER ANASTASIO: And that's simply to be
21 listed as a TAC?

22 DR. TING: Yeah. That's the criteria --

23 DR. LIM: Yeah

24 DR. TING: -- for that program.

25 DR. LIM: Right.

1 That's not to say that the reference
2 concentration should have that tenfold factor. This is
3 purely for listing criteria.

4 PANEL MEMBER GLANTZ: I'm now totally confused.

5 (Laughter.)

6 PANEL MEMBER GLANTZ: Maybe the thing to do,
7 because this is clearly going to be one of the big issues
8 in this, maybe over lunch you and DPR can make a slide
9 with -- which is -- with each of the different elements
10 that contribute to this. And you could have the OEHHA
11 number and the DPR number next to each other, and then we
12 could just see why do you think different things,
13 because this --

14 CHAIRPERSON KLEINMAN: Yeah, I think --

15 PANEL MEMBER GLANTZ: And I can -- yeah, I mean I
16 still don't understand where -- I mean my understanding of
17 the way the margin of exposure worked is you have the
18 point of departure, which is kind of like what OEHHA likes
19 to talk as a reference exposure level. And then when
20 OEHHA and ARB are doing it, they divide that to say
21 "what's the acceptable level?" And what DPR does is
22 they -- they flip the fraction and they -- so they ask
23 like how much below that are you? But I don't see how
24 that should make a difference -- changing a thousand to
25 10,000.

1 CHAIRPERSON KLEINMAN: But I think if you take
2 finding --

3 PANEL MEMBER GLANTZ: Because it seems like they
4 ought to be just -- just invert -- they should just be
5 inverses of each other. Just because it's a definitional
6 thing, I don't see why it should make such a gigantic
7 difference in the number.

8 CHAIRPERSON KLEINMAN: But I think if you
9 aggregate the recommendations from finding 22 where you're
10 going to increase the interspecies uncertainty factor
11 by -- to 3, and then finding 23 where you're going to have
12 an intraspecies uncertainty factor of 30, that gives you a
13 90. Then the other 10 gives you 900. And then the
14 original hundred, you get up to 9,000. And so there may
15 be a little bit more in there as well.

16 PANEL MEMBER HAMMOND: I missed one of those.

17 PANEL MEMBER BLANC: Can I ask --

18 PANEL MEMBER GLANTZ: Still a pretty big
19 difference from what DPR was saying. So I think --

20 DR. TING: We'll try to make that table as you
21 have instructed.

22 PANEL MEMBER GLANTZ: Okay. And I -- because I
23 think that's going to -- I mean to me, there's a couple of
24 key issues that have come out of all this, and that's one
25 of them. I mean to me, the two -- the two key questions

1 that I had going into this were the neurotoxicity endpoint
2 versus the acetylcholinesterase endpoint. And the other
3 one is what's the appropriate uncertainty factor. I think
4 after reading through all of this, those -- well, and then
5 there's the questions about the exposure model. But
6 the -- but in terms of the biology, those are the really
7 two keys questions that I think come out of this.

8 So I think in the discussion after lunch, the
9 more we can like get those differences ventilated and
10 explained, I think that will really help move things
11 forward.

12 Because I still don't see where you get the
13 10,000. But we don't have -- we can do that after lunch.

14 PANEL MEMBER BLANC: That's assuming there is a
15 lunch.

16 (Laughter.)

17 PANEL MEMBER GLANTZ: Kleinman said there would
18 be lunch.

19 PANEL MEMBER BLANC: I want to just clarify with
20 you in the same way that I tried to clarify with DPR some
21 things to see if I understand and can grasp the
22 differences and the similarities.

23 So in terms of the modeling that was used for
24 pharmacokinetic-pharmacodynamic relationships, your point
25 is that the input -- some of the input parameters in that

1 model are in fact derived from animal studies, not from
2 human studies; and therefore, a value of 1 is not
3 sufficiently conservative because you're not using a human
4 model.

5 Is that -- do I understand your point correctly
6 on that?

7 DR. TING: Yes. Using an uncertainty factor of 1
8 implies that the prediction is right on. There's very
9 little error. And in our review, this model have certain
10 limitations.

11 PANEL MEMBER BLANC: Right. But the limitations
12 are not solely that it was validated with a small number
13 of human observations but also that some of the parameters
14 were derived from animal studies, not from --

15 DR. TING: We listed a couple of things,
16 including the difference in nature of the particles used
17 in the model validation and real situation, the difference
18 in terms of not all parameters are derived from human
19 samples --

20 PANEL MEMBER BLANC: Yes, okay.

21 DR. TING: -- as well as more number of samples.

22 PANEL MEMBER BLANC: All right. So that's
23 essentially what I just -- I understood you correctly.
24 Okay.

25 None of that critique addresses the

1 pharmacodynamics being based on acetylcholinesterase
2 inhibition. You seem to be accepting that as an
3 appropriate pharmacodynamic component to a PK-PD model.
4 Or am I missing something?

5 DR. TING: Actually we try to separate PK from
6 PD.

7 PANEL MEMBER BLANC: But you said to increase the
8 uncertainty factor by 3 because of the PK, not because of
9 the PD. Otherwise you would have come up with 10 instead
10 of 3.

11 DR. LIM: We accept the model as a model for RBC
12 cholinesterase inhibition.

13 PANEL MEMBER BLANC: Why would you accept that?

14 DR. LIM: But that's what it was intended for.
15 And so --

16 PANEL MEMBER BLANC: But that's what's being
17 applied here.

18 DR. LIM: Right. And I think it -- we were
19 saying we cannot use this model for DNT. You would need a
20 different model. So we wouldn't apply an interspecies for
21 that reason.

22 PANEL MEMBER BLANC: So therefore, what are you
23 suggesting for the modeling of risk here? Are you just
24 throwing up your hands and saying there is no way to do
25 it?

1 DR. LIM: No.

2 PANEL MEMBER BLANC: Because all of your
3 suggestions about adding additional uncertainty factors
4 still presume that they're using modeling of
5 acetylcholinesterase to model the endpoint that you say
6 they should model, which is neurodevelopmental toxicity.
7 So it seems to be a contradiction of some sort, an
8 internal inconsistency in your argument.

9 DR. TING: You are right in saying that we
10 explore both options. In using -- keeping the RBC
11 cholinesterase inhibition as the point of departure, we
12 suggest a bigger uncertainty factor. However, we also
13 realize the cumulative uncertainty factor would be
14 relatively large, so we recommend to explore the
15 possibility of using DNT directly.

16 PANEL MEMBER BLANC: Of using what? I'm sorry.

17 DR. TING: Developmental neurotoxicity as an
18 endpoint directly.

19 PANEL MEMBER BLANC: But that's what DPR suggests
20 by adding a factor of 10, an uncertainty -- that's where
21 their uncertainty factor of 10 comes from, isn't it?

22 DR. LIM: Yes, as an additional uncertainty
23 factor. We're actually looking to the Panel to give us
24 some input on -- as part of your charge question to ways
25 of looking at the epi study.

1 PANEL MEMBER BLANC: Okay. So I guess what
2 you're saying is you can't answer my question.

3 DR. LIM: Yeah, we understand the uncertainties,
4 we understand on what U.S. EPA did in their two
5 assessments in 2016. And it is a really difficult
6 question. You really need to have the raw data from the
7 epi studies. And I think we have greater confidence in
8 the human studies at this point. But if there are ways to
9 look at the epi studies --

10 PANEL MEMBER BLANC: You mean the nonhuman
11 studies?

12 DR. LIM: Yeah.

13 Then we certainly will explore that.

14 PANEL MEMBER BLANC: Uh-huh. So -- you don't
15 mind -- could I keep going with this line of questioning?

16 PANEL MEMBER HAMMOND: Of course.

17 PANEL MEMBER BLANC: Okay. So assuming that
18 you're saying it -- hypothetically were you to stay with
19 acetylcholinesterase inhibition as the endpoint, even
20 though we don't think that necessarily should be the
21 endpoint, but you could maybe address that by adding a
22 factor of 10 for the neurotoxicity. You've then suggested
23 that for intraspecies, instead of using 10, you use 30,
24 plus 10 -- another 10 for neurodevelopmental special
25 things. And the reason why you're saying use 30 is

1 because of childhood vulnerability, right, in terms of
2 cholinesterase sensitivity of effect --

3 DR. LIM: Yes. It's all --

4 PANEL MEMBER BLANC: -- or assess the
5 vulnerability to --

6 DR. LIM: It's all about the RBC
7 acetylcholinesterase inhibition, yes.

8 PANEL MEMBER BLANC: Right. Now, doesn't using
9 an intraspecies factor of 10, which is a fairly -- you
10 know, that's the high end of what's typically used,
11 especially if you've got another factor of 10 for the
12 specific subset of neurodevelopmental toxicity. I mean
13 usually a 10 would be about as high as you'd go for
14 intraspecies, which would generally take into account
15 childhood vulnerability or a special subgroup
16 vulnerability.

17 So is there a specific rationale why OEHHA
18 suggests 30 instead of 10?

19 DR. TING: So some of our reasons are explained
20 actually in finding number 23. We separate them into
21 pharmacokinetic and pharmacodynamic considerations.

22 But to answer your question directly, a number of
23 considerations:

24 First of all, RBC acetylcholinesterase is being
25 used as a surrogate. So it is a little bit unusual.

1 And second, if we do not have specific reason, we
2 may choose to use a smaller factor. But in this
3 particular case we have pretty strong data to indicate
4 there is developmental neurotox. But we see challenges --

5 PANEL MEMBER BLANC: That's where the factor of
6 10 comes from, right?

7 DR. TING: But we see challenges in doing
8 quantitative dose-response. That's why we think --

9 PANEL MEMBER BLANC: I understand that.

10 So where I'm going with all of this badgering of
11 you is, wouldn't it logically -- isn't the logical
12 conclusion of what you're saying, that the interspecies
13 factor which you're suggesting should be 3 rather than 1,
14 because of your uncertainty in the toxicokinetic portion
15 of the PK-PD model - pharmacokinetic part of it? I think
16 that's what I heard you saying, right? It's got to be
17 either one half or the other half that you don't believe
18 the data are certain about. Because otherwise you'd say
19 it's a value of 10, not 3.

20 DR. TING: Actually that number -- our
21 consideration is the model uncertainty, is another way to
22 say the same thing.

23 PANEL MEMBER BLANC: Which part of the model?

24 DR. TING: The conceptual model of the PBPK, how
25 it's implemented, how it's parameterized.

1 PANEL MEMBER BLANC: Why wouldn't you have said
2 it's 10 and not 3, given everything you've said about it,
3 and given that's it's looking at the wrong endpoint or the
4 wrong mediator?

5 DR. TING: I think it's the state-of-the-art PBPK
6 model, and a lot of effort has gone into it. So it may
7 not be perfect but we would like to give it some credit in
8 trying to --

9 PANEL MEMBER BLANC: I think 10 is giving it a
10 lot of credit based on everything you've said.

11 DR. TING: Andy, you want --

12 DR. SALMON: Okay. My name is Andrew Salmon, and
13 I'm senior toxicologist with OEHHA. Some of you may
14 recognize me, having worked on the air program for a year
15 or two in the past.

16 (Laughter.)

17 DR. SALMON: I just wanted to add what I see as a
18 bit of a clarification about what these various
19 uncertainty factors are. And the way we wrote this up for
20 the Hot Spots Program, which is the program that has the
21 defined guidelines where this is all laid out, was that we
22 talked about the uncertainty factors firstly for
23 interspecies; and conventionally that overall factor has
24 been associated with a value of 10. And within that
25 factor of 10, there are two components, one of which is a

1 pharmacokinetic uncertainty of -- with a value of the
2 square root of 10, which is conventionally reduced to 3,
3 and the other is a toxicodynamic uncertainty that is
4 conventionally associated with a value of root 10 as well.

5 So that's the -- I think in this case what we're
6 saying is that the uncertainty which David was describing
7 as the factor of 3 is the -- or square root of 10 is that
8 is the toxicokinetic component of that uncertainty factor.

9 And the -- there's also a factor of 10 going into
10 the toxicodynamic component because of the uncertainty of
11 inter-individual variability. And that -- but that's a --
12 excuse me -- that's a component of the intraspecies, so
13 it's a different uncertainty factor.

14 So we've got a -- we got uncertain -- we've
15 got -- we've gotten residual uncertainty in both elements
16 here. And exactly how they've assigned these various
17 values is a -- you know, a little bit of a -- a decision
18 that is laid out differently here.

19 But I think -- and the point I'm trying to make
20 is that it --

21 PANEL MEMBER BLANC: Yes, yes.

22 DR. SALMON: -- it fits the standard model, that
23 we've got the interspecies uncertainty covered. And
24 that's one factor.

25 And then we've got the intraspecies where we've

1 got toxicokinetics and toxicodynamics.

2 PANEL MEMBER BLANC: This is interspecies?

3 DR. SALMON: Intra.

4 Well, there's a --

5 PANEL MEMBER BLANC: Both parts.

6 DR. SALMON: There's uncertainty to be accounted
7 for in both areas, in -- both in the interspecies
8 extrapolation and the intraspecies.

9 PANEL MEMBER BLANC: Right.

10 DR. SALMON: So that's why you've got 3, or root
11 10, and then you've got --

12 PANEL MEMBER BLANC: 30.

13 DR. SALMON: -- then you've got another one,
14 so --

15 PANEL MEMBER BLANC: And I just don't remember
16 you coming up -- in your brief tenure with the
17 organization, I don't actually remember a situation - and
18 I could be misremembering - where we had a value for 3 for
19 interspecies and a value of 30 for intraspecies and a
20 value of 10 for further uncertainty. I do remember a lot
21 of examples of 10, 10, and 10 --

22 DR. SALMON: Yeah.

23 PANEL MEMBER BLANC: -- and --

24 DR. LIM: I think this case is very special
25 because we're talking about a chemical which is starting

1 with a surrogate endpoint as the basis of point of
2 departure.

3 DR. SALMON: Yeah. I think we -- that's right,
4 absolutely.

5 DR. LIM: In the air program you start with the
6 most sensitive endpoint and then you add the uncertainty
7 factors to that. So that's why -- our main recommendation
8 is let's get the point of departure right with the best
9 endpoint, the most relevant endpoint, and then we'll work
10 on the uncertainty factors.

11 DR. SALMON: Yeah. I think this, as you say, is
12 a special case. In terms of modeling the cholinesterase
13 endpoint, we probably, you know, in spite of our
14 reservations about the PBPK modeling and all that sort of
15 thing, we actually have a rather better coverage of that
16 specific topic than we typically did with some things in
17 the air program.

18 But the big -- you know, the big extra issue in
19 this case is the fact that we're essentially relying on --
20 for that -- for this assessment, we're relying on a
21 substitute endpoint. And the air program would -- you
22 know, had the air program been using the Hot Spots model
23 for laying this all out, we'd have probably put that in as
24 the -- a data uncertainty factor. We'd have called it a
25 separate factor of 10 because of the uncertainty about

1 extrapolating from a surrogate endpoint to the one we were
2 most concerned about. So I think that's where that extra
3 one would have been put --

4 PANEL MEMBER BLANC: And that's aside from the
5 factor of 10 for neurodevelopmental?

6 DR. SALMON: No, that would -- that would be --
7 that's where that -- that's where that comes in.

8 PANEL MEMBER BLANC: Right. So algebraically I'm
9 not actually that far apart in this view. That is to say,
10 I think it should be 10, 10, and 10; and you're saying it
11 should be 3, 30, and 10.

12 DR. SALMON: Yeah. There's room for debate about
13 that.

14 PANEL MEMBER BLANC: And I'm still -- and I think
15 by precedent and by clarity, my own view is were you to
16 recommend 10, 10, and 10, it would be more elegant.

17 (Laughter.)

18 DR. SALMON: Well, it --

19 PANEL MEMBER GLANTZ: And it would all --
20 speaking as one of the people who helped develop those
21 rules of thumb back somewhere in the Pliocene Age, I think
22 it would be a lot clearer -- I mean you're going to get
23 the same answer. But I think it would be a lot clearer
24 and you would also be able to cite, you know, all of the
25 work we did in developing those uncertainty factors and

1 defining them before. You end up in the -- I still don't
2 understand where the 10,000 came from, but I'll --

3 (Laughter.)

4 PANEL MEMBER GLANTZ: -- leave that for --

5 PANEL MEMBER HAMMOND: Well, let me revise my
6 request -- the initial request, to get a table that lays
7 out each uncertainty factor. And I like the idea of doing
8 it from the DPR and from OEHHA's point of view. But let's
9 also do it separately for the two outcomes, the
10 acetylcholinesterase inhibition and for the neurotox
11 development.

12 So those are two different outcomes, and they
13 each have different uncertainties in them? And I think
14 that's what's contributing to this kind of confusion.

15 PANEL MEMBER GLANTZ: Yeah, I think that's a
16 really good suggestion as a way to --

17 PANEL MEMBER HAMMOND: I mean I think -- and the
18 fact that you -- the fact you can't just sit -- nobody's
19 been able to sit there and make that table while we've
20 been talking for 20 minutes on this says something about
21 how complex it is to you too.

22 I just say that --

23 PANEL MEMBER GLANTZ: They're making it right
24 there.

25 PANEL MEMBER HAMMOND: So make it -- make it --

1 And as I say, but I would -- I would suggest now that
2 there act -- but we just need clarity, and I don't think
3 we -- doing it orally I think is not the way to do it.
4 But make the table and have it for each of the outcomes,
5 as well as if the different agencies have used different
6 factors, just so we can see.

7 And I have ano --

8 PANEL MEMBER GLANTZ: Then put it on the screen.

9 PANEL MEMBER HAMMOND: And then I have another
10 question.

11 In other -- in other iterations of my life in
12 different places, the intraspecies variation - so, for
13 instance, among humans - has been a factor of 10 in
14 workplace values. So in other words, that's all adults.
15 And we're saying there's intraspecies variation among
16 adults human being that relates to metabolic pathways and
17 different aspects of that. So if that's true, I don't
18 think 10 is appropriate if you're also going to children.
19 And certainly fetal development, I would imagine, is
20 something more. So I guess I don't know if the hot -- now
21 I can't remember, because I was part of that too but I
22 forget -- the hot spots thing. But I think that there's
23 an adult 10 and then something else for children.

24 DR. SALMON: The way the hot spots default works
25 is that the overall value for the intraspecies uncertainty

1 factor, the UFH, is 30, which consists by default of a
2 square root of 10 for the toxicokinetic -- well, so --
3 that's -- there's an uncertainty factor of 30 overall.
4 Let's not worry about that.

5 (Laughter.)

6 DR. SALMON: Overall 30 default for the whole
7 factor, which includes both the toxicokinetic and dynamic.

8 PANEL MEMBER HAMMOND: So, yeah, I -- I think for
9 me, I'm just a little visual, it would be helpful to just
10 see it up there. And if we could, you know, do that.

11 And then I have one other question that's along
12 this line and -- and, that is, is there -- are we thinking
13 at some level that the inhibition of acetylcholinesterase
14 is a step towards the neurodevelopment problem? Or is it
15 just something that is -- this is a chemical that has this
16 effect, it has this effect, and they're unrelated to each
17 other?

18 DR. TING: Well, as we pointed out, there could
19 be many mode of actions for developmental neurotox. I
20 think U.S. EPA counted as many as five or six. But
21 there's no definitive answer to that question. And
22 inhibition of acetylcholinesterase may or may be one of
23 those. I don't know the answer.

24 PANEL MEMBER HAMMOND: Right. And then I -- let
25 me just ask the question. I won't say what I think.

1 Do we know whether it's a direct action or a
2 metabolite that's responsible? I didn't --

3 DR. TING: We don't know either. I'm sorry.

4 PANEL MEMBER HAMMOND: Yeah, yeah. No, I --
5 that's what I thought. I mean I -- so I think we --
6 sometimes we have to be humble; and I think that's what
7 uncertainty factors are, humility, in the face of
8 uncertainty, you know, of what we know and what we don't
9 know. On the other hand, we also have these observations
10 that in the real world we are observing among human beings
11 and children these outcomes. That is pretty clear.

12 DR. TING: Right. I just want to echo one Panel
13 member's previous comment, is that the PD part right now
14 is based on simple chemical reaction.

15 PANEL MEMBER HAMMOND: I'm sorry?

16 DR. TING: PD, pharmacodynamic variability.

17 But in the neurodevelopmental toxicology --
18 toxicity, the variability on the PD part, pharmacodynamic
19 part, could be much bigger.

20 PANEL MEMBER BLANC: So let's go back to the
21 model.

22 PANEL MEMBER GLANTZ: He wanted --

23 PANEL MEMBER BLANC: Oops. I'm sorry.

24 PANEL MEMBER ARAUJO: Yeah. I just have a
25 question just -- so you're saying that there are different

1 mechanisms that could be responsible for those effects.
2 And what are those mechanisms?

3 DR. TING: I'm not talking about -- I'm not
4 saying that we know the mechanism. I'm just saying that
5 pharmacodynamic for RBC inhibition is relatively simple
6 and straightforward. But the same cannot be said for
7 developmental neurotoxicity.

8 PANEL MEMBER ARAUJO: But there's not even any --
9 a speculation of what could be the mechanisms or any
10 evidences?

11 DR. TING: As I said earlier, U.S. EPA counted as
12 many as five to six possible or plausible mode of actions.

13 PANEL MEMBER ARAUJO: And what are those?

14 DR. LIM: They have -- well, they describe in the
15 TAC documents. So I don't know if you -- maybe those
16 uncertainty -- I think DPR could probably answer that
17 question in greater details than we can do.

18 PANEL MEMBER ARAUJO: Um-hmm.

19 PANEL MEMBER BLANC: So coming back to the
20 pharmacokinetic-pharmacodynamic model and the point of
21 departure which has to do with 10 percent
22 acetylcholinesterase inhibition. And that's what that
23 whole model is constructed around. Right? And you're
24 saying --

25 DR. LIM: Correct.

1 PANEL MEMBER BLANC: -- that we're using
2 cholinesterase as a surrogate for something else that
3 we're not -- cholinesterase inhibition as a surrogate for
4 other effects, right?

5 DR. LIM: Correct.

6 PANEL MEMBER BLANC: And you've come up with some
7 uncertainty factors -- or the -- well, they've come up
8 with an uncertainty factor of 10 for neurotoxicity, and
9 you don't disagree with that uncertainty factor.

10 But isn't the net effect of that saying that
11 you're point of departure is 1 percent cholinesterase
12 inhibition essentially, or am I being too primitive?

13 DR. LIM: If there's a linear correlation.

14 PANEL MEMBER BLANC: Well, what does model
15 presume?

16 DR. LIM: I think DPR would -- probably can
17 answer that question what the 1 percent point of departure
18 would be --

19 PANEL MEMBER BLANC: Well, okay.

20 DR. LIM: -- from the model.

21 PANEL MEMBER BLANC: Maybe DPR can -- who's the
22 modeler? Is -- yeah, can you chime in and say whether
23 that model, which was developed by the EPA I guess and
24 then refined by you, assumes a linear response for
25 cholinesterase inhibition?

1 DR. KWOK: Okay. Let me answer the question. I
2 mean back to the notion that, you know, anything less than
3 10 percent cholinesterase inhibition is considered
4 unreliable, meaning, you know, technically we cannot
5 achieve that.

6 I mean in terms of the model you can actually go
7 down to, you know, well below 10 percent, you know, or
8 whatever percent you want. But what would be the
9 biological meaning attached to that -- you know, the model
10 whether, you know, 3 percent or whatever percent, you
11 know, below 10 percent?

12 PANEL MEMBER BLANC: Well, let me play it out for
13 you.

14 So you've got all these animal studies. Now
15 you've got seven or eight or six, or whatever it is,
16 reliable animal studies with the endpoint of
17 neurodevelopmental toxicity and you have the dose that the
18 animals got and for some of those studies you have
19 multiple doses, right?

20 DR. KWOK: Um-hmm.

21 PANEL MEMBER BLANC: And if -- if the
22 relationship between dose of -- of chlorpyrifos and 10
23 percent inhibition is such -- we heard many times one
24 milligram per kilogram was the amount below which you
25 didn't get 10 percent inhibition. Right? But if it's a

1 linear and -- relationship and if the animal models of
2 neurodevelopmental effects show that you're -- you're
3 low -- your LOEL was 0.1 milligram per kg, and if you
4 thought it was a linear response, then 0.1 milligram per
5 kg would be a 1 percent acetylcholinesterase inhibition,
6 and then you could adjust your
7 pharmacokinetic-pharmacodynamic modeling to give you a
8 point of departure of 1 percent cholinesterase inhibition
9 if it were linear of course, which I don't know that it
10 necessarily is. But if you -- have you thought at all in
11 that direction? Because I'm trying to think about how --
12 and I'd still say there's a lot of uncertainty, so I
13 wouldn't do -- I wouldn't do that and then do away with
14 your uncertainty factor of 10, although you may say I
15 think it can be 5 now because we've taken part of it into
16 account by doing this.

17 But is that a root -- because otherwise I'm
18 trying to figure out even if you decide to use
19 neurodevelopmental toxicity as your endpoint, how are you
20 going to make that operational?

21 And in terms of the -- just -- this is a side
22 comment. But in terms of this back and forth about the
23 epi studies and we can't get the dose-response from them,
24 in fact if there were no epi studies, or if all the epi
25 studies were negative, I would say there's enough animal

1 data to have that be the endpoint. I think the epi
2 studies are kind of the icing on the cake. I wouldn't try
3 to use them for your dose-response, but they just
4 underscore the biological plausibility of all the animal
5 studies.

6 And add uncertainty because humans are likely to
7 probably be more -- could be more susceptible. And
8 certainly what they're measuring in the rodents is a more
9 blunt measure of neurodevelopmental toxicity because
10 they're not looking at the SAT scores of rats, you know.

11 (Laughter.)

12 DR. DuTEAUX: If I may - and if would please the
13 Chair - this present -- this was the opportunity for OEHHA
14 to present their findings. DPR is more than happy to come
15 back and spend the rest of the afternoon till midnight, if
16 it pleases you, to talk about it. But I think it might
17 muddy issues if OEHHA's asked to respond on behalf of DPR
18 and vice versa. So if we could allow OEHHA perhaps to
19 finish their presentation and then invite DPR back for
20 questioning, we'd be happy to do that.

21 CHAIRPERSON KLEINMAN: Yeah, I think that
22 would -- the idea that -- it's a great idea, and I think
23 what we will do is we can wrap up this part of the
24 presentation and then have lunch - we'll take a 45-minute
25 lunch recess - and then give DPR a chance to -- because

1 they've gone over this in detail and have given us a --
2 their responses to these findings, I think --

3 DR. DuTEAUX: And we have some good table to show
4 too.

5 CHAIRPERSON KLEINMAN: And we have tables. All
6 right.

7 (Laughter.)

8 CHAIRPERSON KLEINMAN: Let me ask, are there any
9 other questions that we need to address directly to OEHHA
10 on this?

11 Jesús.

12 PANEL MEMBER ARAUJO: One of my comments is not
13 to OEHHA in particular. It's just that I have the time
14 limitations and I will have to leave just like around --
15 you know, around 20 minutes.

16 So I will not be here for -- after you reconvene
17 from lunch. So I don't know if -- maybe I should give my
18 comments then to the DPR now. And --

19 CHAIRPERSON KLEINMAN: Yes.

20 PANEL MEMBER ARAUJO: -- I will not be able to be
21 here for the discussion.

22 CHAIRPERSON KLEINMAN: Let's take advantage of
23 the fact that you're here now.

24 So go ahead.

25 PANEL MEMBER ARAUJO: Will they be able to answer

1 or will you -- or they just comment and -- when you come
2 back from lunch, and then you let me know?

3 PANEL MEMBER GLANTZ: Just go.

4 PANEL MEMBER ARAUJO: I just go. Okay.

5 So --

6 DR. LIM: So these questions are for DPR or for
7 OEHHA?

8 PANEL MEMBER ARAUJO: Well, it's mostly for DPR.

9 DR. LIM: Okay. Are there any questions for
10 OEHHA?

11 Okay. Thank you.

12 CHAIRPERSON KLEINMAN: Okay. Well, thank you
13 very much,

14 And, Shelley, if you wouldn't mind.

15 All right. Go ahead.

16 PANEL MEMBER ARAUJO: Okay. So this is a, you
17 know, very extensive presentation based on a large amount
18 of evidence. And the majority of my comments then will
19 go -- or focus on the pathogenesis and on the -- some on
20 the toxicology.

21 So, you know, pretty good presentation in the
22 document about and the -- you know, what the compound is
23 and the various characteristics. And I focus on the
24 mechanisms and -- is perhaps a little bit weaker, perhaps
25 because there is not -- you know, most of the work has

1 shown just a fix on the -- this -- that it has on the
2 cholinesterase activity. And there will be ample
3 discussion, I'm sure, in the remainder of the afternoon.
4 We have already witnessed some, on how to translate these
5 and how to extrapolate like from this and effects into the
6 actual, you know, health effects on you -- that you may --
7 it may have.

8 However, it just strikes me that either there is
9 a possibility that there are health effects and that can
10 be induced even in the absence of demonstrable inhibition
11 of the acetylcholinesterase. There are going to be other
12 mechanisms and -- as those and five other mechanisms that
13 were mentioned by -- in the OEHHA presentation.

14 And so I've been doing like some searches. And I
15 didn't find in like some alternative mechanisms, none of
16 which were mentioned in the document. So I could suggest
17 that one of the things that could be done to improve on
18 the comprehensiveness of the document is of including
19 these other pieces of evidence.

20 So the acetylcholinesterase inhibition again is
21 very well documented and presented. But there was no
22 mention about other effects such as effects on oxidation
23 or on oxidative stress. Effects on the paraoxonase
24 activity.

25 There was a good presentation in between the

1 relationship of the paraoxonase 1 from enzyme that
2 metabolizes and they compound.

3 However, it goes in both directions. Not only do
4 the paraoxonase detoxifies and the chlorpyrifos compound,
5 but also this compound can alter that period of the
6 paraoxonase 1. And there is one paper and an abstract
7 where I saw that.

8 And in animals it's been described that there is
9 like a decrease in activity of the paraoxonase, and after
10 just one -- or low doses of -- or local concentrations of
11 it.

12 That is rather important, because paraoxonase 1
13 inhibition or the inhibition of this activity can result
14 in a variety of different effects. For example, they
15 knock out animals and for the paraoxonase, so there's been
16 descriptions of an increase in atherosclerosis, of an
17 increase cardiovascular disease. So one of the things --
18 and that has been well shown, it's been shown in a
19 national paper of many years ago.

20 So I did some of those sessions. So if that is
21 the case, could it be that in addition to these
22 neurodevelopmental inhalation and dermal effects and that
23 you mention in the document, could there be other effects
24 then, and that perhaps are not through the cholinesterase
25 activity inhibition that through the increased oxidation

1 or through the inhibition of the paraoxonase 1 activity?
2 And it turns out that there are reports. So there are
3 reports on the cardiovascular effects and there are
4 epidemiological studies where it shows that there is in an
5 association of pesticide use with myocardial infarction.
6 And in one study that was published in 2010 -- and I can
7 send you the references, because those were not mentioned
8 in this presentation.

9 Although there was no overall association with
10 the use of the 27 pesticides that were included in that
11 document, it's in that study. It's a study that include
12 over 22,000 women farmers or spouses of the farmers.

13 Six pesticides were associated with myocardial
14 infarction. Out of the six pesticides, this is one of
15 those. The alteration was like a 2.1. So it was not
16 insigni -- they couldn't really conclude at the end
17 because they said that they didn't really have the power
18 to specifically determine like a risk and attributable to
19 the specific pesticides, but that it was highly, highly
20 suggestive.

21 So that goes together with other papers where
22 they have shown effects on lipids. So just one single
23 dose or -- of this compound can increase, and the lipids
24 can increase cholesterol or triglycerides. I didn't have
25 access to the papers, so I couldn't really say what is

1 specifically. They can be also increases in the glucose.
2 And there are papers that look -- that talk an association
3 with diabetes, either that it increases the risk of the
4 diabetes or that the diabetes could be increasing the
5 propensity of development -- developing in effects.

6 And there is paper from Beate in our Panel where
7 it shows associations with Parkinson's disease. And I
8 think that she will be discussing that in -- with more
9 details.

10 So I guess I might -- you know, my recommendation
11 is just to try to broaden, you know, the consideration for
12 all the different factors of growth in the pathogenic
13 mechanisms, to broaden also and include like the other
14 potential effects in addition to the neurodevelopmental.

15 And I don't know if that will play a role or a
16 factor in your considerations of the uncertainty factors.
17 Because if there are other effects and other mechanisms
18 that we can really account for, that may be the amount of
19 the events is not enough like to take them into
20 consideration to regulate based on those. But it does add
21 uncertainty, you know. It does add uncertainty and it
22 adds -- well, it could actually be that this is more toxic
23 than what we think it is because we're just not
24 considering the full spectrum with effects that could
25 reduce. So that will justify maybe keeping a large

1 uncertainty factor.

2 And the last -- the very last comment will be
3 that it seems that there are some individuals that will be
4 more susceptible than others. And you mentioned some in
5 the document, and it has to do with individuals with
6 different polymorphisms for different genes, and very
7 specifically the paraoxonase 1. And so individuals with
8 the polymorphism or the genotype vary and that confuse the
9 lesser activity, so may have an increased susceptibility
10 to develop various of these effects.

11 DR. DuTEAUX: Thank you very much for those
12 comments.

13 And for the sake of brevity, the 278-page
14 document, we did try to narrow the focus on the
15 acetylcholinesterase. However, we have edited our
16 documents. And in a previous version -- or previous
17 draft - it was internal only - we did have a large
18 discussion of AOP. And if you have a few minutes before
19 you leave, I would like to invite Dr. Marilyn Silva up
20 here to talk a little bit about those pathways that she's
21 been looking at, including an endocannabinoid. Now, this
22 was specific to developmental neurotoxicity. However, it
23 gives a window into the complexity of this chemical and
24 how the biological systems are interacting.

25 DR. DuTEAUX: Did you want to bring up slides or

1 do you just want to talk?

2 DR. SILVA: Well, I have the AOP.

3 DR. DuTEAUX: Oh, okay.

4 DR. SILVA: My name is Marilyn Silva, and I'm in
5 the Human Health Assessment Branch with Shelley DuTeaux.

6 And so I think the most important -- let's see.
7 Let me get this a little bigger here.

8 DR. DuTEAUX: The slide show button.

9 PANEL MEMBER GLANTZ: Hit the little screen.

10 DR. SILVA: Okay. What -- because I don't have
11 much time and this is very complex, I would take a look at
12 this box down here where you have your key events going
13 on. And before -- and if we go through this really
14 quickly.

15 First you have - and most important - you have a
16 disruption of the endocannabinoid system by chlorpyrifos.
17 And then this leads to the various other key events, which
18 ultimately ends with reduced connectively and
19 functionality of neural networks. And so there you're
20 going to have your neurodevelopmental effects.

21 We focus on the diagram on the left. And you'll
22 see chlorpyrifos would act at the CB-1 on the far left and
23 ultimately inhibit the enzyme MAGL that breaks down the
24 endocannabinoids. So the endocannabinoid buildup in the
25 synaptic area will inhibit the release of

1 neurotransmitters; and therefore you have inhibited
2 function. So we're talking about the GABA system,
3 acetylcholinesterase, NMDA, the glutaminergic system,
4 dopamine active transporter, and serotonin. So you're
5 going to have an inhibition. I mean in a nutshell, you're
6 going to have inhibition of release of these
7 neurotransmitters, which are going to have a long-term
8 effect on -- downstream with your neural progenitor cells,
9 your neural networks, and ultimately neurodevelopmental or
10 neurological effects.

11 DR. DuTEAUX: Thank you for that, Marilyn.

12 And also we have found, just in discussions of
13 other papers, that they have mentioned potential metabolic
14 mechanisms, including neuropathology target esterase,
15 monoacylglycerol lipase, fatty acid aminohydrolase, and
16 then other non-metabolic mechanisms, including, as you
17 mentioned, oxidative stress, disruption of neurogenesis,
18 cytotoxicity, disruption in cell signaling, altered
19 nuclear transcription factors, altered neurogenal cell
20 interactions.

21 And then you have the environmental factors,
22 which we tend to not include, although weight of evidence
23 would make us want to look at those, including whether
24 there's combined chemical exposures, the health status of
25 the mother or the individual, infectious disease, heavy

1 metal exposures, and social determinants of health. So
2 we're looking at an incredibly complex system not only in
3 neurosynaptic junctions and in the brain, but also in the
4 environmental milieu that a person is in.

5 Because we are a regulatory agency and we have to
6 come up with a regulatory target number, we can only for a
7 risk assessment look at a single chemical at a time.

8 That's the system that we operate within. So
9 unfortunately we can't look at multiple OPs or the
10 interaction of OPs and carbamates or any of those.

11 So if we look specifically at chlorpyrifos
12 though, the world is just opening up in the potential
13 pathways. And the AOP has definitely not been completely
14 elucidated. This is from a draft publication that will
15 be going --

16 DR. SILVA: Has been accepted.

17 DR. DuTEAUX: That's been accepted.

18 But you're points about multiple mechanisms and
19 not -- and known unknowns and unknown unknowns is right on
20 point.

21 And did the selection of an endpoint that we did
22 and the addition of the uncertainty factors to that, does
23 that allow for enough protection for whatever might be
24 happening with this compound, at whatever levels is the
25 target tissue dose?

1 DR. KOSHLUKOVA: Well, chlorpyrifos oxone
2 inhibits esterases. And we know the esterases are about
3 11 percent of the total genome in humans. So with that,
4 we would be expecting many pathways affected.

5 CHAIRPERSON KLEINMAN: And in addition, the
6 inhibition of the cholinesterase in the brain's going to
7 lead to a buildup of acetylcholine, hyperstimulation of
8 the entire cholinergic nervous system and all that, which
9 then can lead to, you know, tissue damage and a number of
10 other things.

11 So I mean there's a real link to, you know, the
12 inhibition of the cholinesterase as well as all these
13 other mechanisms. And I think it would be very helpful,
14 you know, just leading with where I would be going in our
15 discussion, to improve the document by actually putting
16 some of this stuff in the front end, so that there's a
17 real rationale for why are we looking at, you know,
18 cholinesterase inhibition and how does that link to the
19 developmental nervous changes and nervous system changes.
20 And I think that would help too.

21 But, anyway, I think this would be a good time
22 for us to take a --

23 PANEL MEMBER GLANTZ: Does Jesús have anything
24 else he wanted to say before you run off?

25 You don't have to.

1 PANEL MEMBER ARAUJO: No, no. I guess -- I
2 understand, you know, the decisions that you made in --
3 okay. So you're choosing on the neurodevelopmental
4 effects and you're choosing on the cholinesterase
5 inhibition to make your decisions, right, and using the
6 modeling and all the data derived from that. But that
7 doesn't impede at least, you know, in the -- perhaps in
8 the background or in the introduction, mention all the
9 other potential -- I'm not talking about interactions so
10 for the chlorpyrifos with many other compounds. I'm just
11 talking about chlorpyrifos and the way how it acts, it
12 induces all these effects, and the variety of effects that
13 it can induce. And if one of the things that we're
14 talking about, uncertainty, how much uncertainty to say
15 that we will be regulated based on a mechanism that we
16 know that even if nonexistent, the chlorpyrifos could
17 induce some effects. That is a lot of uncertainty.

18 So I think that rather than just consider like a
19 narrowing or decreasing the uncertainty, the question is
20 that should we increasing the uncertainty factor even
21 more. Because I think that we're not including other
22 things that could be potentially as important or even more
23 important. Maybe because they just haven't been studied
24 enough or maybe because they're not as important as we --
25 as the -- we just don't know, right?

1 But this point I already made it. And so I -- if
2 you decide to include it, so I can provide some of the
3 references in some of these searches.

4 DR. DuTEAUX: Yeah, we'd very much appreciate
5 that. Thank you.

6 PANEL MEMBER HAMMOND: I just want to express
7 gratitude for those comments, because -- I mean this is
8 stunning, this is really interesting. But this is still
9 all that one pathway. And Jesús is also talking about
10 totally different pathways, right? And so I don't want to
11 lose that point of his. But I think this is great that --
12 this points out all these other things that we didn't --
13 that you didn't do. But -- right, right.

14 Well, I -- right. But it's all coming through --
15 I -- I understand that. It's initiating all from the
16 cholinesterase.

17 PANEL MEMBER BLANC: No, it's not. That's the
18 whole point.

19 PANEL MEMBER HAMMOND: Okay. I'm sorry. Then I
20 did -- I misunderstood that. I thought that was first --

21 PANEL MEMBER BLANC: No, no, this is...

22 PANEL MEMBER ARAUJO: It's in relation to the
23 neuro --

24 PANEL MEMBER BLANC: It's related to the end --
25 the clinical endpoint, if you will, is neurodevelopmental

1 toxicity. But this is underscoring that that can be
2 independent of the acetylcholinesterase inhibition, and
3 that's the biological plausibility of seeing effects at
4 levels which we have reason to believe there is no
5 acetylcholinesterase inhibition.

6 PANEL MEMBER HAMMOND: And so Jesús has given us
7 other pathways as --

8 PANEL MEMBER BLANC: Other endpoints.

9 PANEL MEMBER HAMMOND: And other endpoints.

10 Both, right.

11 PANEL MEMBER BLANC: I think the oxidative stress
12 is here.

13 DR. DuTREAUX: Yes.

14 PANEL MEMBER HAMMOND: I missed it then. I
15 supposed it was hard to take in.

16 DR. DuTEAUX: But, Dr. Hammond, your point is
17 well made, that if it's cardiotoxic or lipogenic, there
18 are -- outside of these enzymatic pathways and signal
19 transcriptase pathways, that there could be other
20 phenogenic, phenotypic expressions of toxicity that we can
21 definitely look at. And as I mentioned early -- earlier
22 this morning, I did look briefly at spermatogenesis, which
23 is not developmental, and it's repro so it's different.
24 And the effect was 35 milligrams per kilogram per day for
25 spermatogenic effects, which is well above the effect for

1 acetylcholinesterase. So looking at that one aside, but
2 what are the sensitivities of the other endpoints? And I
3 think it would behoove us to look at those.

4 PANEL MEMBER ARAUJO: Yeah. Well, I wasn't
5 impressed in the document as well as in your response now.

6 You know, on the many different receptors that
7 are -- can interact with the chlorpyrifos. So you talk
8 about FXR, you talk about an LXR, you talk about a lot of,
9 you know, nuclear receptors. And I'm saying, wow, all
10 these receptors are very much involved in carbohydrate
11 metabolism, lipid metabolism, you know, a lot of systemic
12 metabolism. Why we don't have much of many -- you know,
13 more evidence of it.

14 And that's why I decided doing the searches. And
15 I found some references with animal work and some
16 references with you. But I have to say just that they're
17 few. There are a few. The bulk of the evidence goes into
18 the neurodevelopmental.

19 Could it be just, and again, that just haven't --
20 they haven't looked at it? So, for example, you know,
21 you -- you cannot -- you cannot see what you don't even
22 look for -- for it. You know, you have to have some sort
23 of like an educated mind, right, and to discover or, you
24 know --

25 DR. DuTEAUX: And if we had five more years to

1 work on this document, it would be wonderful. We could
2 always retire knowing that this one is the --

3 PANEL MEMBER ARAUJO: But you can certainly take
4 into account at least what we know and what has been
5 published. So even though the bulk of the evidence is on
6 the neurodevelopmental effects and on the cholinesterase
7 activity, the few pieces of evidence that are saying that
8 there is a whole lot of other mechanism effects that
9 haven't really been studied enough, could they at least be
10 mentioned and could be taken into consideration perhaps at
11 least to substantiate in the large uncertainty factor that
12 we are considering.

13 DR. DuTEAUX: Thank you.

14 CHAIRPERSON KLEINMAN: All right. I think this
15 is a good time to stop. We'll have a 45-minute recess,
16 and reconvene at 2:15.

17 (Off record: 1:32 p.m.)

18 (Thereupon a recess was taken.)

19 (On record: 2:23 p.m.)

20 CHAIRPERSON KLEINMAN: Good afternoon. I'd like
21 to call this meeting back to order and continue with our
22 discussions.

23 So if everybody can get their seats.

24 The next item on the agenda we're going to have a
25 response that's been prepared by DPR regarding the

1 findings that OEHHA presented earlier.

2 And then we'll move on to discussing the charge
3 questions.

4 So, Dr. DuTeaux.

5 DR. DuTEAUX: Well, I apologize. I did not
6 realize that we were expected to give a formal response to
7 OEHHA's findings. We have a document that everyone
8 released. But we didn't -- that we released to the panel.
9 But we didn't make a slide presentation particularly about
10 our responses.

11 CHAIRPERSON KLEINMAN: Well, what I think would
12 be helpful is, you know, there have been a couple of
13 issues raised --

14 DR. DuTEAUX: Sure.

15 CHAIRPERSON KLEINMAN: And I think to give us
16 just a feeling -- nothing -- you know, how you intend to
17 deal with that just as an overview. I know you've written
18 up a very detailed discussion of it. But sort of the high
19 view of it.

20 DR. DuTEAUX: Okay. Well I -- two points kind of
21 bubbled up to the surface in the conversation at the end
22 of the morning, one of which was on the differences on
23 breaking down the uncertainty factors between OEHHA and
24 DPR. So I think if we could start there, that would be a
25 really good place to start; and then we can also clarify

1 where that extra 10 comes in as a toxic air contaminant.
2 So let's start there and then we can move on from that.

3 So I'll turn it over to Svetlana Koshlukova.

4 So the table we're showing on this slide right
5 here is -- Svetlana, if you can do slide show. And that
6 gets rid of all the stuff around.

7 Next to 71, exactly.

8 This was actually a table -- Table 4 that we
9 pulled from DPR's response to OEHHA comments which was
10 released in August 2017 just ahead of our PREC meeting.

11 So we don't want to speak for OEHHA, of course.
12 We pulled the explanation of -- there are parts of their
13 uncertainty factors from their comments to us, but we
14 certainly don't want to speak to that. What we can do, if
15 Lori or David are willing to, is to come up and they can
16 speak on their numbers, we can speak on our numbers.

17 But if we start, I'll have Dr. Koshlukova start
18 on speaking to our numbers.

19 DR. KOSHLUKOVA: So just show --

20 PANEL MEMBER GLANTZ: So I think that's a good
21 idea to have OEHHA talk to. And what I would prefer,
22 since -- or go back to your slide, because your slide and
23 OEHHA's slide are the same in terms of the numbers.

24 Maybe to go through these one at a time and
25 get -- hear it from you and hear it from them about each

1 one, and then have some discussion. Because I do think --
2 I do think that this is -- this is like one of the -- this
3 is probably the biggest single issue in the report, is
4 what these numbers ought to be.

5 And the other thing -- by the way, I will use my
6 prerogative as the longest serving member of the
7 Committee, who has been on it forever. You know, this
8 process of discussion, you know, rather than having to
9 worry about a lot of formal documents back and forth, is
10 the way we've done these in the past. And then you get to
11 go -- you get a transcript and get to try to figure out
12 what everybody said after the fact.

13 (Laughter.)

14 PANEL MEMBER GLANTZ: But, no, I think -- I think
15 this is like one of the two or three key issues. Okay.

16 DR. DuTEAUX: And I guess let me ask Lori and
17 David before you talk to your numbers -- and maybe we can
18 start with interspecies and then intraspecies.

19 Would you like to have Randy come up and explain
20 the 10x for the TAC? He's willing to do that if -- that's
21 okay?

22 Okay. Good. I'm going to turn the floor over to
23 Randy Segawa then.

24 DR. KOSHLUKOVA: From the PREC presentation,
25 that -- would you like that one?

1 DPR SPECIAL ADVISOR SEGAWA: No, that's all
2 right.

3 PANEL MEMBER GLANTZ: I didn't mean to cut
4 Svetlana off.

5 DR. KOSHLUKOVA: Oh, that's --

6 DR. DuTEAUX: That's okay.

7 DPR SPECIAL ADVISOR SEGAWA: Yeah, so part of
8 this discussion this morning was this extra tenfold,
9 quote, uncertainty factor for toxic air contaminants,
10 which adjusted OEHHA's recommended total uncertainty
11 factors from 1,000 to 10,000. That extra, quote,
12 uncertainty factor's really not an uncertainty factor.
13 It's not based on science at all. It's the legal criteria
14 that DPR has for listing as a toxic air contaminant.

15 So whatever total uncertainty factor you end up
16 deciding, whether it's 100 or 1,000 or something else,
17 then under the State law for listing as a TAC we add in an
18 extra tenfold factor. And so if the margins of exposures
19 exceed cede that extra tenfold, then we list it as a TAC.

20 Again, it's entirely based on legal requirements.
21 There's no science behind it. And so it really shouldn't
22 be viewed as an uncertainty factor. Okay?

23 PANEL MEMBER GLANTZ: So just to finish
24 clarifying that. But -- and also from the Chair. But
25 what we should come up with as our recommendation to you

1 is what we think -- we should kind of forget about that
2 legal thing and we should just tell you what we think the
3 number ought to be, and then can you go fight with the
4 lawyers about that.

5 DPR SPECIAL ADVISOR SEGAWA: That's exactly
6 correct.

7 PANEL MEMBER GLANTZ: Okay. Great.

8 DR. KOSHLUKOVA: So this document that you see on
9 the screen is our response to OEHHA's comments that
10 Shelley mentioned. It was published on the DPR website on
11 August the 15th.

12 And so these are our responses to their comments
13 to the 2015 RCD. This was before the bulk of the 2017
14 published -- papers were published on developmental
15 neurotoxicity.

16 So if you go to page 8, we have this table that
17 we composed comparing the uncertainty factors used by DPR
18 and OEHHA and recommended by OEHHA. These uncertainty
19 factors are for cholinesterase inhibition as an endpoint,
20 and based on point of departures estimated with PBPK-PD
21 model.

22 Our reasoning is to the left in the blue
23 highlighted section. For the interspecies, we are using
24 uncertainty factor of 1 based on the fact that those are
25 human equivalent doses derived from a PBPK-PD model. This

1 is a human model, and the outputs, the PoDs are for
2 humans.

3 For the interspecies factors, this is the
4 inter -- the variation between insensitivity within
5 humans. We retained a default of 10. At that time, the
6 model -- the model that U.S. EPA used, it was developed by
7 Dow, established a so-called data-derived uncertainty
8 factors of 4 for chlorpyrifos and 5 for oxon, which would
9 indicate that people can vary the sensitivity to
10 cholinesterase inhibition in humans is fourfold for
11 chlorpyrifos and fivefold for chlorpyrifos oxon.

12 However, we had concerns about the DDEFs, the
13 data-derived extrapolation factors, mostly because we did
14 not -- EPA at that time - we adopted their endpoints - did
15 not utilize the pregnancy compartment of the model. So
16 that life stage was excluded. And at that time, we had
17 some concerns regarding the metabolic parameters and their
18 variability.

19 And the final uncertainty factor is a 10 for
20 neurodevelopmental toxicity. This is to account for the
21 fact that we're using endpoint for cholinesterase
22 inhibition. And we are aware of potential
23 neurodevelopmental effects. As such, we involved the
24 uncertainty factor of 10 for this potential -- for this
25 neurodevelopmental toxicity that may occur in doses lower

1 than cholinesterase inhibition.

2 We did not have a lot of evidence where the
3 neurodevelopmental effect may occur at doses. At that
4 time, we had one evidence from zebrafish studies. It was
5 not a ToxCast or high throughput toxicity study. It was a
6 normal test where they measured cholinesterase in
7 zebrafish and also behavioral effects and malformations,
8 and they found that those neurodevelopmental,
9 neurobehavioral effects occurred about tenfold lower
10 concentrations than cholinesterase inhibition.

11 So that was the reasoning for our uncertainty
12 factors.

13 Okay. So then since then we...

14 PANEL MEMBER BLANC: So in your tables of -- in
15 the document here, in your parent document where you had
16 tables about neurodevelopmental toxicity, the tables at
17 least seem to be limited to rodent studies. So the
18 zebrafish data aren't included there. Are they included
19 somewhere else that's just hard to see where they are?

20 DR. KOSHLUKOVA: They are. They are in the RCD.
21 Yes, they are included in the RCD.

22 DR. DuTEAUX: It's in the ToxCast section.

23 DR. KOSHLUKOVA: It's in the ToxCast section.

24 PANEL MEMBER BLANC: Okay. I -- no, I
25 understand. So that's probably why I missed it. But

1 it -- one goes through the document and sees this section
2 on neurodevelopmental toxicity, and it's the -- all the,
3 you know, rodents and there's nothing about zebrafish
4 there at all. You may want to give a shout out to you're
5 going to -- there's also a zebrafish data which is covered
6 in a different section.

7 DR. KOSHLUKOVA: Right. And we will ask Marilyn
8 to present a little later. We have prepared a table where
9 we compare the developmental neurotoxicity studies, the
10 newly published ones, as well as zebrafish on the next
11 slide.

12 PANEL MEMBER BLANC: So let me just go back to
13 the topic of the endpoint. Maybe we're too far down the
14 road. Maybe we need to establish something else a bit
15 more basic first.

16 What do you propose as the endpoint for toxicity
17 in your standard setting?

18 DR. DuTEAUX: Specific to chlorpyrifos?

19 PANEL MEMBER BLANC: Yes.

20 DR. DuTEAUX: So our assessment to date shows
21 that the database for acetylcholinesterase inhibition --

22 PANEL MEMBER BLANC: I didn't ask what the
23 database was better for. What do you think is the key
24 toxic endpoint for chlorpyrifos? Not what can we model
25 with acetylcholinesterase. What do you think is the

1 target organ and target effect that is the public health
2 concern here -- the greatest public health concern?
3 That's I think what we need to decide first, because then
4 everything else is just tactical. But strategically
5 what...

6 DR. DuTEAUX: So you're asking a question of a
7 regulatory agency that is difficult to answer. Because if
8 we're protecting public health as we are, we have to
9 reflect our entire mission. And so what we have to do
10 with establishing a regulatory target is have something
11 that's scientifically justifiable and also defensible in
12 court and defensible with the legislature.

13 PANEL MEMBER BLANC: Right.

14 DR. DuTEAUX: So we're not simply coming to this
15 body to come up with a guidance level. We're actually
16 going to be making regulation following this.

17 And the weight of evidence that goes for that may
18 have a different factor that we have to consider. So I
19 cannot answer that question without talking about database
20 certainties and database -- just the volume and certainty
21 of a database.

22 PANEL MEMBER GLANTZ: Yeah, I really -- I really
23 think it's a little bit of an unfair question to ask them.
24 I mean, I -- they've come forward with their report. And
25 I think it's our job to say what we think they should do.

1 I mean if you read it -- I mean at the very -- as I
2 recall, the very last thing is we're using this red blood
3 cell acetylcholinesterase, but we're -- we recognize that
4 the neurodevelopmental toxicity is important and we're
5 thinking about it. And I think the question -- the
6 thing -- and I agree with you, this is the fundamental
7 question. And I mean, just to speak for myself having,
8 you know, read all of this, listened -- you know, talked
9 to them a week or so ago, read the public comments,
10 listened to the discussion this morning, I mean I think
11 that the developmental neurotoxicity is the more
12 appropriate endpoint, and that -- that you could develop a
13 defensible document and a defensible position with. I
14 think that you have -- I mean I think the document is
15 actually pretty well put together. I could follow it,
16 which is like amazing --

17 (Laughter.)

18 PANEL MEMBER GLANTZ: -- because this is -- a lot
19 of this is not what I do all the time. And I think it's
20 really a matter of judgment and emphasis. And I think
21 that you have in the document, you know, the information
22 that you pretty much need to make the case for
23 developmental neurotoxicity being the appropriate endpoint
24 from a regulatory point of view. I mean that's -- I'd be
25 interested in hearing what other people say.

1 Now, the -- just one more second. The next
2 question after that is then, how do you then convert that
3 to a number that you can use for regulation?

4 PANEL MEMBER BLANC: That's what I was trying to
5 ask.

6 PANEL MEMBER GLANTZ: And that brings us back to
7 this.

8 PANEL MEMBER BLANC: Thanks for --

9 PANEL MEMBER GLANTZ: Huh?

10 PANEL MEMBER BLANC: Thanks for restating it,
11 because that's really what I was trying to ask.

12 PANEL MEMBER GLANTZ: No. But the thing that was
13 different, I think just -- then I'll -- because I was
14 quiet this morning.

15 (Laughter.)

16 PANEL MEMBER GLANTZ: And my head almost
17 exploded. But everybody else was asking all my questions.

18 But I think -- I don't think it's fair for us to
19 ask them at this point what they think, you know, should
20 be done. I think it is now our responsibility to say to
21 them what we think they should do. Do you see the
22 difference?

23 And I think -- they've put forward what they
24 think in this report. I think its a well written
25 document. And I think it's -- I think it's been very fair

1 in presenting the data on all of these issues. I think
2 they were very responsive to the public comments, you
3 know, within the point of view that they ended up with.
4 And I think the question we have to ask is, do we agree
5 with the primary conclusion they drew; or do we want to
6 recommend they take the alternative pathway, which is
7 outlined in the report pretty clearly I think.

8 So that's -- so I'll be quiet now for five
9 seconds.

10 PANEL MEMBER HAMMOND: I'll try to make this
11 quick.

12 And to answer that question -- and I agree. I
13 think that this is the -- these are the two primary
14 questions that we've been talking about.

15 I think -- I understand what you're saying about
16 needing the strong database. I think you have shown using
17 an outcome that you don't think is the prime outcome if
18 you really had your choice -- well, at least, this -- let
19 me say, I think - all right - from --

20 PANEL MEMBER GLANTZ: But we need to speak for us
21 now.

22 PANEL MEMBER HAMMOND: Yeah, okay. So for
23 myself, the red blood cell acetylcholinesterase
24 inhibitor -- I want to get that all.

25 PANEL MEMBER GLANTZ: Cholinesterase.

1 PANEL MEMBER HAMMOND: -- cholinesterase
2 inhibitor as an outcome is less important I think than the
3 neurotox -- the neurotox developmental outcome. But
4 there's a stronger database.

5 But what I see is you've got -- you can take the
6 first outcome, and you can do all your uncertainty factors
7 and your numbers and work that out. And we have enough
8 data to say that we're seeing these neurodevelopmental
9 effects happening at lower concentrations.

10 And so I think you make your strong case for
11 outcome 1, and you -- and what the level would be to
12 protect from that. And then you know for sure that
13 outcome 2 has got -- there's no uncertainty factor; we can
14 talk about what that should be. But you've got an
15 uncertainty factor, and you can start saying where can you
16 work from there. But it's sure as heck going to have to
17 be something way below the -- a first one because you
18 already see that effect.

19 So I think there's enough data, and that's how
20 you would support it, you know, if you do -- you prove A
21 really well and then you say B is less and then you work
22 on how much less.

23 PANEL MEMBER GLANTZ: And just to -- again,
24 because I was so quiet this morning.

25 I think -- and I don't think this is going to

1 require a huge amount of rewriting of the report; because
2 I think you have a huge amount of information on just this
3 point in there, and it's very well presented. I think the
4 stuff that you presented right before lunch, without
5 getting into a whole, you know, writing another hundred
6 pages, I do think that strengthens the case quite a lot,
7 because it points to the -- to the biological pathways
8 that kind of connect all these things together. So I
9 definitely think that's -- and I thought you presented it
10 pretty clearly too. I think that's worth adding.

11 But I think the way -- again, the way that Kathy
12 framed it is I think what the path forward is, if the
13 committee ends up agreeing that neurotoxicity should be
14 the endpoint, you know. So...

15 PANEL MEMBER RITZ: Well, I'm actually really
16 stunned to think that what I heard - and I might be
17 completely wrong - is that you're basing all this on the
18 acetylcholinesterase inhibition, more or less, even though
19 you're presenting all this modern data: the ToxCast, the
20 assays. And all of these assays are pointing all in the
21 same direction, right - receptors, pathways. And this
22 is -- this is not that modern anymore. I mean this has
23 now been accumulating over a decade. That's probably why
24 you were able to put this in here. But it seems like
25 you're ignoring the huge database, that was created with

1 these assays, that all tell you something or parts of that
2 big story that probably leads to neurodevelopment,
3 neurodegeneration, something brain related.

4 So I didn't really see how that was brought into
5 your overall ruling. You described it beautifully. And,
6 you know, that's nice and thank you. But how was that
7 brought into the decision making, the rulemaking, or was
8 that all knowledge you put in after you already had
9 decided on these factors? It's not clear.

10 DR. DuTEAUX: Okay. So just to clarify, I think.

11 We chose an endpoint, which is
12 acetylcholinesterase inhibition, for this document. And
13 then we justified what the uncertainty factors were to get
14 to our reference concentration for an inhalation toxic air
15 contaminant. And I believe - if it wasn't clear in our
16 risk appraisal section, we can certainly go back and make
17 it more clear - that to underpin a tenfold uncertainty
18 factor for neurodevelopment, that is underpinned by the --
19 the AOP that we -- is still in formation at this point,
20 also by the zebrafish data, and also for the epidemiology
21 data. All of that goes into basing a 10x
22 neurodevelopmental uncertainty factor, where we are right
23 now.

24 The additional animal data and any additional
25 epidemiology data that comes in could certainly strengthen

1 that number or change that number. So hopefully we didn't
2 discount it. Hopefully it was just a matter of not being
3 as explicit as we should have been in the explanation.

4 PANEL MEMBER RITZ: So that sounds to me like you
5 have three lines of evidence - epidemiology, the animal
6 data at very low doses, and the ToxCast assays - and all
7 of them -- all three of them give you a factor of 10. I'm
8 wondering how that number is justified?

9 DR. KOSHLUKOVA: Well, so our first risk
10 assessment came 2015. So you understand this was two
11 years ago.

12 So this is the -- this table that you see is
13 responding to comments to our 2015 risk assessment. Since
14 then, we have made two more drafts, and new information
15 came. So in this particular case, at that time the only
16 information that we had regarding to how much lower
17 neurodevelopmental effect might occur, or from the
18 zebrafish studies.

19 We have experts in ToxCast. We have an
20 award-winning group here, we here in DPR. And so we did
21 explore ToxCast.

22 We have a lot of pathways by -- at that point, we
23 were unable to quantify how these pathways, individual
24 pathways would produce in vivo human equivalent doses.

25 But that was at that time.

1 Now, recently this year, since 2015 there were
2 two posted presentations from Dr. Carr's lab, and then
3 several papers were published, most of them this year.

4 All of the effects that we see on
5 neurodevelopmental toxicity, they're in three domains -
6 cognitive effects, behavior, and motor activity. All of
7 them occur -- in the four papers occur at 0.1 milligram
8 per kilogram per day. Remember, the threshold for
9 cholinesterase inhibition is 1 milligram per kilogram per
10 day. So we are about a 10 in animal studies.

11 PANEL MEMBER BLANC: Sorry. So that -- to come
12 back to Kathy Hammond's direction of questioning, and to
13 combine that with Beate's questions, there are -- there
14 are -- seems like there are only two logical ways to go.
15 One way to go is you say that acetylcholinesterase is our
16 surrogate endpoint even though we do not believe that that
17 is the mechanism of toxicity.

18 DR. KOSHLUKOVA: (Nods head.)

19 PANEL MEMBER BLANC: But we're still going to use
20 it because we believe our data is more robust. And we
21 believe that at least -- that a dose of 0.1 is the lowest
22 effect level. It's not a NOEL. It's a lowest effect
23 level. Right?

24 DR. KOSHLUKOVA: There is one.

25 PANEL MEMBER BLANC: But we're still going -- but

1 if I understand it correctly, despite all of these
2 correction factors, you're still using the point of
3 departure as 10 percent inhibition. And then after the
4 fact you're doing a series of adjustments for
5 uncertainties. But usually when we're talking about
6 uncertainty factors, it's not like -- actually we're
7 pretty certain this isn't the mechanism of action, but
8 we're going to use this anyway. So that's what's not so
9 attractive about using acetylcholinesterase inhibition as
10 a surrogate measure. But if you are going to use it as a
11 surrogate, you're going to have to assume that the
12 surrogacy occurs at 1 percent inhibition or half a percent
13 inhibition of acetylcholinesterase. That's even assuming
14 that it's a linear effect, because you're seeing
15 something, instead of at 1 milligram, you're seeing at 0.1
16 milligram.

17 So I don't think you get there just by throwing
18 in an uncertainty factor of 10.

19 The other way you could do it - and I'm not so
20 sure I understand why you can't do it this way - is forget
21 about the acetylcholinesterase inhibition, forget about
22 the PK-PD modeling. Take the animal data that you have
23 for neurotoxicity, and either try to estimate a benchmark
24 effect or a LOEL and divide it by 10 and use that and do
25 all your risk calculations with that value, or at least

1 compare them side by side and see what they look like.

2 PANEL MEMBER RITZ: Under that paradigm you need
3 a 10 for interspecies extrapolation.

4 PANEL MEMBER BLANC: Yeah, so we'd use that 10
5 for interspecies because you'd be using animal data.
6 You'd have to --

7 DR. DuTEAUX: Right. And I think that the 10 for
8 developmental neurotox would then --

9 PANEL MEMBER BLANC: Go away.

10 DR. DuTEAUX: -- reduce to 1. So --

11 PANEL MEMBER BLANC: Yeah.

12 DR. DuTEAUX: -- it would be the same number.

13 PANEL MEMBER BLANC: I understand. I understand
14 that. But --

15 DR. DuTEAUX: The same total. I'm sorry.

16 PANEL MEMBER BLANC: Right. But since you'd be
17 doing a LOEL I guess at 0.1, it's actually going to be
18 0.01, right? So you're going to get 10 more that way.

19 So my guess is -- so my guess is you'll come out,
20 you know, sixty-fold lower or something - I don't know -
21 than where you are now. Something like that. But at
22 least it will be -- it will be a bit more logical than
23 saying we know that this isn't the mechanism we care
24 about, but this is -- you know, this is what we have a
25 good data for, you know, doing our calculations. But

1 that's kind of putting the cart before the horse a little
2 bit, I think.

3 It would be better to do the cruder, you know,
4 NOEL way. But you might even be able to have a model for,
5 you know -- what word do I want where you do the doses and
6 you -- a benchmark or a ben -- yeah, thing that will work.
7 I don't know if the data are robust enough, but I would at
8 least try to go down that path. And then you're not
9 forced to put yourself in this double bind that you're
10 otherwise in, it seems the me.

11 CHAIRPERSON KLEINMAN: And I think it's important
12 to keep in mind that the inhibition of the enzyme is part
13 of a mechanism and could be a toxic outcome, just the fact
14 that other things are happening even at lower levels.

15 PANEL MEMBER BLANC: Yeah, the target organ
16 toxicity -- I mean target health effects.

17 CHAIRPERSON KLEINMAN: Yeah. But to amend what
18 you were just saying, I would not say that it's not the
19 mechanism -- not a mechanism -- I would say it's not the
20 only mechanism.

21 PANEL MEMBER BLANC: Well, it doesn't appear to
22 be the mechanism for neurodevelopmental toxicity since
23 it's occurring at levels below which we would have
24 anticipated there be any and in which there doesn't appear
25 to be any acetylcholinesterase inhibition.

1 And I thought, you know, your figure, which
2 tended to indicate that if you had to -- someone put a gun
3 to your head and choose the mechanism, you would say it
4 was the cannabinoid mechanism. That was kind of what I
5 took away from that figure, even though that there's some
6 other possible explanations.

7 But, anyway, we've put --

8 DR. KOSHLUKOVA: Not necessarily. This is the
9 one that we have more information how chlorpyrifos works.
10 It just -- the data is there. There is quite a bit of
11 information on serotonin -- hitting the serotonin system,
12 oxidative stress.

13 But this one is the one that we can make the
14 adverse outcome pathway as a first try.

15 PANEL MEMBER BLANC: Yeah. Anyway, that --
16 wouldn't that make your life easier, not harder?

17 (Laughter.)

18 DR. DuTEAUX: It still would require an extensive
19 rewrite of the document.

20 That aside, we would be interested in seeing what
21 this Panel's recommendation and findings are to us.

22 PANEL MEMBER BLANC: Well, that would be mine.
23 And why don't you speak next.

24 PANEL MEMBER RITZ: Well, I'm an epidemiologist.
25 I just want to see all the human data acknowledged. And I

1 think we already heard from my colleague that there might
2 be other data out there, which -- because, you know, we
3 live longer, we have different -- we have a neural network
4 that is slightly different from all these animals. And
5 there might be more chronic effects than we really can
6 imagine. So we should at least point to them even so we
7 can't use them for regulations yet. But they should be
8 acknowledged and taken into account, especially if then
9 ToxCast shows more and more of these pathways coming up.

10 DR. KOSHLUKOVA: It all comes to the uncertainty.
11 Which endpoint selection would lower the uncertainty? Is
12 it the well doc'd cholinesterase inhibition that we know
13 and then just add additional uncertainty factors to
14 account for neurodevelopmental effects? Or use
15 neurodevelopmental endpoint, which comes with uncertainty
16 because the database is not rich and they're not solid
17 endpoints at this time?

18 So we prepared this table per Dr. Glantz'
19 request. So I can walk you through it a little bit. It's
20 complicated and I'll try to narrow down to the topic of
21 the conversation right now, and then I'll get some help.

22 So basically it has four panels. The first one
23 is the uncertainty factors, the routes and duration, and
24 then various exposure scenarios as well as the sensitive
25 population that we evaluated.

1 So it starts with -- the second panel is in
2 pinkish, and that's our current document, 2017 -- 2017
3 December.

4 It shows -- the first column shows PBPK
5 PoD-derive -- or PD-derived point of departures. Those
6 are based on 10 percent acetylcholinesterase inhibition
7 using the model.

8 And we expressed everything as microgram per
9 kilogram per day. So it's a little confusing about the --
10 the units, so remember, it's microgram, not milligram.
11 So...

12 For the second part is the reference dose or
13 reference concentration, which we calculated as the
14 critical endpoint divided by the uncertainty factor. In
15 this case for the model that we used for the endpoint, our
16 total uncertainty factor is 10, based on 1 for
17 interspecies sensitivity, 10 for intra, and 10 for
18 developmental neurotoxicity.

19 So if you'll look at children only for acute
20 auto, the reference dose would be 5.81 micrograms per
21 kilogram per day. We go down -- this is for acute.

22 Then we go down the road. And for children the
23 steady state -- this is short terms of chronic. This is a
24 steady state or equivalent to subchronic. Repeated dose
25 treatment would produce a dose of 0.99 for 10 percent

1 cholinesterase inhibition. This would be the reference
2 dose. And I think higher than this would be acceptable.

3 And then we have the same for dermal.

4 And then the same for inhalation.

5 The one that's important is 28.5. That's the
6 reference concentration, which for now is used as a target
7 concentration.

8 The panel in green is the published developmental
9 neurotoxicity studies in animals. The point of departure,
10 there are four or five studies. They all come with a NOEL
11 of 0.01 milligram per kilogram per day, which would be 10
12 microgram per kilogram per day.

13 If you compare -- now, those studies are done
14 through different exposure regimen. So it's -- one of the
15 studies is a single dose, acute; and then the rest are
16 repeated treatment, either during gestation, during
17 postnatal period, or combination gestation and postnatal.

18 So most of -- it's all oral. That's a very
19 important point. We only have oral data oral studies.

20 So again, they -- the new developmental point of
21 departure will be applicable for both acute and short-term
22 duration; and perhaps even longer because at least in one
23 of the studies treatment is, one, during the postnatal
24 period and then the pups are assessed when they become
25 adults.

1 So then we compare -- if you look at the
2 steady-state cholinesterase inhibition based reference
3 dose compared to the one that would be coming from the
4 published developmental neurotoxicity studies, the
5 difference is tenfold. That's where we come here.

6 If we compare this reference dose to the acute
7 number for cholinesterase inhibition, the difference is
8 58-fold.

9 Then we continue on to dermal and inhalation. We
10 do not have route-specific data for neurodevelopmental.
11 Therefore we have to do some conversions using default
12 assumptions of what the -- yeah, breathing rate is, and
13 body weight for -- for children; and then in the case of
14 the dermal, we would need to have some idea of the dermal
15 absorption in animals. So using 3 percent dermal
16 absorption in the rat, which is the published value in one
17 of the U.S. EPA's documents, 2011, the last document
18 that's used animal data for point of departures, we
19 calculated a dermal PoD based on the developmental
20 neurotoxicity study of 3.3 micrograms per kilogram per
21 day. And this going to be 403-fold lower than the
22 corresponding one from cholinesterase inhibition.

23 But, again, this is based on route-to-route
24 extrapolation.

25 And for inhalation the important number that we

1 have -- this is the current one based on cholinesterase
2 inhibition. And if we use default breathing rate of 0.33
3 cubic meters per hour and body weight of children 11, then
4 we come up with this number. And it's going to be a
5 thousandfold lower.

6 And then the third column is -- that's
7 Dr. Glantz' request, to also include the U.S. EPA risk
8 assessments using epidemiologist study. So we selected
9 the November one because the previous one -- the previous
10 one in April did not really establish PoDs. It was based
11 on internal -- in blood concentration, the cord -- the
12 concentration of chlorpyrifos in the cord blood. And so
13 this one had the reverse dosimetry established reference
14 point of departure.

15 So U.S. EPA risk assessment did not deal with
16 acute toxicity, so everything was done on the steady-state
17 base.

18 These are the numbers, and this is how the
19 difference would be compared to our current document.

20 PANEL MEMBER BLANC: Very helpful.

21 It's also quite reassuring actually to see that
22 this middle pathway gets you a bit closer to the EPA
23 estimates but without having to jump through all the hoops
24 of their complicated model that was hard to decipher based
25 on what you've said.

1 DR. DuTEAUX: And it hasn't been vetted yet. It
2 hasn't gone out to scientific review as yet.

3 PANEL MEMBER BLANC: Their model.

4 PANEL MEMBER RITZ: A question for clarification.
5 So all of these models steady state assume you're
6 only exposed to that one route, right?

7 DR. KWOK: Yeah, that's correct. Because that's
8 how we model it based on -- it's a route-specific PoD.

9 DR. DuTEAUX: And for being a TAC, although I
10 know in the past when pesticides have come in front of the
11 SRP, the panel has considered either aggregate exposures
12 or different routes of exposure other than inhalation.
13 But we are looking at toxic air contaminant; the route of
14 exposure that we should be concerned about for this
15 particular case should be inhalation.

16 PANEL MEMBER HAMMOND: Well, just to that point.
17 I think -- but we're also interested -- the reason the
18 others are important is if the inhalation adds to a burden
19 and takes it over the magic line.

20 DR. DuTEAUX: Absolutely. And --

21 PANEL MEMBER HAMMOND: So that's why you have to
22 look at all those.

23 DR. DuTEAUX: Absolutely.

24 PANEL MEMBER HAMMOND: And you did.

25 DR. DuTEAUX: And I think -- and maybe Erik or

1 Svetlana can add to this. But the reason why a
2 steady-state exposure that we've modeled in our risk
3 assessment is so important is twofold: One is that
4 it's -- it's a departure from what DPR has done in
5 previous risk assessments, in that we are setting up a
6 population that's already at risk. We're looking at a
7 population that has been exposed, according to the EPA
8 model in 2014, for one hour a day for 21 consecutive days.

9 And as that decreases the amount of
10 cholinesterase available, the baseline inhibition kind of
11 bottoms out or it reaches that 10 percent steady state.
12 And then we look at what happens to that population with
13 that decrement when they get another hit.

14 So we're not looking at a healthy population.
15 We're looking at a population that's already affected.

16 PANEL MEMBER HAMMOND: So to me that's a new
17 point that I didn't realize, that that effect is long
18 lasting. I was assu -- I didn't know how long the
19 inhibition lasted. So that -- that is a subchronic or
20 chronic effect?

21 Okay. And I was thinking it was like a day or
22 two.

23 DR. DuTEAUX: Subchronic. Right.

24 And I know that you've seen many charts in your
25 lifetime about occupational exposure. And that's why, you

1 know, we talk about people having headaches over the
2 weekend and then they come back to work on Monday and they
3 feel great.

4 With carbamates that's definitely the case with
5 cholinesterase inhibition, where they get a hit and then
6 they rebound. But this is a successive decrease in the
7 ability of the body to handle the insult. And it goes and
8 goes and goes and goes until it bottoms out.

9 PANEL MEMBER HAMMOND: So then -- but I had
10 another -- I started out with another question. And that
11 was in the green set of columns. You have -- for the
12 female, is that "not applicable" - I'm not sure - NA, or
13 "not available"? But I thought -- this is the animal
14 studies, and I thought the animal studies included
15 exposing the mother when she was pregnant and the
16 gestational exposure. So I -- and I think that's one of
17 the exposures we worry about.

18 So I wasn't -- I mean this is a wonderful table.
19 I mean this is great, so -- let me be really clear. So
20 I'm going to pick on the little things.

21 DR. KOSHLUKOVA: I have to -- I want to make two
22 points.

23 The animal studies are few of them. And
24 usually -- so those are published studies. Those are not
25 registrant submitted studies. So for those that are under

1 FIFRA requirements, there are all kinds of examinations
2 that are done on the moms. Published studies do not
3 follow FIFRA guidelines. Therefore, at least the one that
4 I'm familiar with, the most -- the 2017 study by Silva,
5 et al., mothers were treated late gestation, and then all
6 of the evaluations are done on the pups.

7 PANEL MEMBER HAMMOND: I understand the
8 evaluation's being done on the pups. But I was thinking
9 that the exposure would be in utero.

10 DR. KOSHLUKOVA: Yes.

11 PANEL MEMBER HAMMOND: And I think that that's
12 one of the exposures that we're concerned about as a
13 society, so --

14 DR. KOSHLUKOVA: But the effect is a behavior of
15 the pups, so --

16 PANEL MEMBER HAMMOND: Yes.

17 DR. KOSHLUKOVA: -- that's the --

18 PANEL MEMBER HAMMOND: Oh, so when -- so you're
19 talking about where the effect was evaluated, not when the
20 exposure occurred?

21 DR. DuTEAUX: Right. They didn't do a gross
22 morphology or anything in the females. Although there was
23 the one study -- who did the adults with the subcue.

24 DR. KOSHLUKOVA: Muller.

25 DR. DuTEAUX: Muller did a study on adults --

1 male rats subcue, and there were effects in that species.
2 But it's really hard to take a subcue, you know, dose --
3 subcutaneous, an injection under the skin and make it
4 relevant to a human exposure out in the real world.

5 PANEL MEMBER HAMMOND: Yeah. No, I -- that's
6 fine. No, I just wanted to be accurate with the little
7 shortcut words that --

8 PANEL MEMBER BLANC: But there's a point in
9 Kathy's question, which is this: The fetus is exposed,
10 from the air, going through the mother - right - for the
11 respiratory?

12 DR. KOSHLUKOVA: The mom is gavaged or treated --

13 PANEL MEMBER BLANC: No, no. But assuming that
14 mother is not gavaged but mother is outside next to the
15 golf course and is inhaling in her last trimester, right?
16 And in your adjustments of course you use the breathing
17 rates of 1- or 2-year olds in this class. Right?

18 But how -- this -- I don't know the answer to
19 this question. But how are you going to take into account
20 that what really matters is that the breathing dynamics of
21 a woman in her last trimester, which is when this -- this
22 in utero exposure occurs, that may be the most important
23 exposure in terms of safety?

24 PANEL MEMBER HAMMOND: I mean, I guess the thing
25 is that this -- the table, as I said -- I was thinking of

1 it as at the exposure level. You could make this table
2 the -- where you say which was exposed. The mother -- the
3 female who's 13 to 49.

4 DR. KOSHLUKOVA: I can pull the table --

5 PANEL MEMBER HAMMOND: But the outcome would be
6 at the top. That is the DNT outcome.

7 DR. KOSHLUKOVA: Right.

8 PANEL MEMBER HAMMOND: But which would be on the
9 children.

10 PANEL MEMBER BLANC: So it might -- I think it
11 might mean that you should consider not -- I know you've
12 only considered here for this quick thing the children.
13 But you might actually for the neurodevelopmental endpoint
14 need to consider the women of childbearing age as well;
15 because even though the endpoint of effect wouldn't be
16 them, it would be their fetus.

17 DR. KOSHLUKOVA: So are you saying that if there
18 is a behavior alteration -- alteration in behavior, for
19 example, anxieties that is increased in this study, would
20 we consider that level that causes anxiety as --

21 PANEL MEMBER HAMMOND: Let me try to say this,
22 okay, because I brought it up.

23 I'm sorry. I'll do this quickly then. I'll try
24 to.

25 What I'm trying to say is the outcome that we're

1 interested in is just some neurobehavioral effect at age 1
2 or 2, right, for the children; but we would actually look
3 at two levels of exposure. And I know that the -- the
4 animals, you said earlier the studies had this. Some
5 animals were exposed only while the mother was pregnant --
6 I mean the animals, the -- I don't have the right words --
7 the dam, I guess, and is exposed while she's pregnant but
8 that's it. And then the offspring are not exposed. So
9 it's just an in-utero exposure and no light. Others were
10 exposed only after they were born. And then a third group
11 were exposed for both. And I think those -- that's what
12 I'm interes -- the outcome that you're going to look at
13 would be - I'm just making this up - like the 2-year-old
14 neurobehavioral outcome, that's the one outcome.

15 But those three different exposure scenarios are
16 really important and have different implications. As Paul
17 says, the inhalation rate is going to be very -- you know,
18 if you're talking about an in-utero exposure, it's the dam
19 or the mother's inhalation. So that I think is the way
20 one would want to look at it.

21 DR. LIM: Can I make a comment?

22 Yeah, I totally agree with that because the --
23 experimentally is the dam then exposed to the chlorpyrifos
24 in the air? So technically when you take that point of
25 departure and convert it, it should apply to the female 13

1 to 49 years old, which is a child -- woman at a
2 childbearing age, not applying it to the -- for the
3 children, because the idea is that the reference dose
4 would protect the pregnant woman; therefore protects the
5 fetus.

6 DR. DuTEAUX: And I also wish that we had
7 inhalation exposure data in animals. It's very hard to
8 expose pregnant dams.

9 So the numbers that you see, the inhalation
10 number that you saw on the table is a route-to-route
11 extrapolation. All of the animals were either exposed by
12 gavage, so the tube down their throat, so an oral
13 exposure, or subcutaneous injection.

14 Inhalation is a very rare thing for us to find.
15 In fact, we're working with one of Dr. Buckpitt's
16 colleagues at UC Davis on doing one for chloropicrin right
17 now. And the amount of work that goes into creating an
18 inhalation chamber is intense.

19 So I wish we had more, but -- so as
20 Dr. Koshlukova was mentioning, there's a lot of
21 assumptions underlined in route-to-route extrapolation,
22 from going, okay, if you're giving a bolus amount down a
23 tube, down into someone's stomach or this rat's stomach,
24 what does that translate into airborne exposure?

25 So I mean if we added the inhalation rates, which

1 do change, for -- during pregnancy, you know, we could
2 modify that somewhat by what our assumption is for
3 inhalation rates. Instead of 3 liters per minute, maybe
4 it's 3 1/2 liters per minute or whatever it is.

5 PANEL MEMBER LANDOLPH: Yeah, I really enjoyed
6 reading the document. Took me a while to get through it.
7 It's a very long document, but it's a nice document.

8 I would second what was said earlier, or third it
9 maybe. I would -- just write in your document, there's
10 two mechanisms for neural data and, you know -- at least
11 two, and one is the classical nerve gas inhibition of
12 acetylcholinesterase; and that one you've got covered very
13 well. It's very defensible scientifically and in court.
14 You won't have any problem with that.

15 And then just write "Number 2." And number 2,
16 you know, the neurotoxicities, something that probably
17 began to be recognized about seven years ago when I was on
18 a science advisory board of EPA. We put a lot of pressure
19 on them to write a review article on that. And then it
20 began to show something from a review article Bob Sonawane
21 wrote. And now, you know, it's beginning to become more
22 clear, but it's still not very, very clear.

23 And if you leave that in there, then you can
24 convince them that you're trying to protect the public
25 health. That's defensible. And you're pretty sure that

1 there is a mechanism that's just not mature enough
2 scientifically that we know what that mechanism or
3 mechanisms are.

4 And then you take your stab, as was suggested
5 earlier, at what kind of calculations that gives you.

6 And when I first looked at this and the response
7 Dow was giving it and stuff, I thought, "Yeah, it's going
8 to be a little shaky to defend this. You'll have some
9 difficulty." But you say, "This is what it is, this is
10 what we know, this as far as we can go," and that's it.

11 But we've tried to be health protective. We're
12 pretty sure there are things like Parkinson's and other
13 neurologic damage that's the tip of the iceberg here with
14 this pesticide and probably with many more that work
15 through this type of mechanism. And I think you're
16 obligated to put that mechanism -- you know, whatever you
17 know about it in there. And do exactly what was said,
18 make your calculation -- your second calculation based on
19 that.

20 And that way if that gets knocked down in a court
21 battle or something like that, then you still got the
22 other one to fall back on, but you've done your best to
23 substantiate this other one, the newest one because it is
24 more health protective, and that's an honorable thing to
25 do too. So I think I would put them both in and

1 substantiate them as best as you can.

2 And draw them -- give them names, you know, that
3 they have different mechanisms.

4 PANEL MEMBER GLANTZ: I mean I agree with that
5 too.

6 But I just want to come back -- I don't think
7 this will take totally rewriting this document. I mean I
8 think you pretty much have everything you need. I mean I
9 didn't know a dam thing about this till I started reading
10 it. And my wife every night, "What are you doing?" "I'm
11 reading about this chemical where I can't pronounce the
12 name."

13 So I really think these are matters of sort of
14 framing and emphasis. I like the way Joe's suggesting it.
15 And I mean you've done the calculations in a week. So
16 that's good.

17 (Laughter.)

18 PANEL MEMBER GLANTZ: And I think this is much
19 easier to follow, you know, the green column, than all
20 those gyrations the EPA went through to kind of back
21 calculate things and do handsprings. So I mean I -- I
22 mean, again, having been on this committee forever, I mean
23 I think, you know, seeing things that we've dealt with,
24 and that have gone through and where people -- you know,
25 ARB got sued over them. They won all the lawsuits. So I

1 think -- you know, our job is to help give you that
2 guidance, and I think you're getting a pretty strong
3 consensus, unless I'm missing something. And I think
4 you've got it pretty much here.

5 And I don't hear -- the last thing is, I think --
6 at least in listening to people talk about this, I think
7 the approach that you took -- and by the way, one thing if
8 isn't obvious to anybody, which the DPR told me on the
9 phone, is that these animal studies in the green weren't
10 available when the original document was written. This
11 was new information that -- right, that came available as
12 the document was evolving?

13 DR. DuTEAUX: Yes.

14 PANEL MEMBER GLANTZ: And so it's just a matter
15 of now that it's there, making the full use of it.

16 And the other thing that I found interesting in
17 listening to this is like you have your uncertainty
18 factors up there, and nobody's complaining about them.

19 I mean maybe I'm missing something. But, you
20 know, I think by using the more direct endpoint, that
21 makes the uncertainty factors less controversial, unless
22 I'm missing something. So I think this is kind of the way
23 you ought to go with this.

24 DR. DuTEAUX: Well, this is different than what I
25 learned in class 20 years ago from Dr. Hammond; and, that

1 is, if you have human data, use it.

2 So now we were using a human-based PBPK-PD model,
3 and now we're saying, "It's not so great. We're going to
4 go back and use the animal data."

5 PANEL MEMBER GLANTZ: No, I think -- I actually
6 thought --

7 DR. DuTEAUX: But I just -- I needed to -- I
8 needed to give her shout out about this.

9 PANEL MEMBER GLANTZ: Well, but the thing is
10 though, I think that the -- I mean and it is a very nice
11 model. And I thought the sensitivity analysis you did in
12 it and all of that was actually quite impressive. But
13 what -- but it's like the facts kind of overcame the
14 model. And now I think the -- the big thing that I see
15 the epidemiology contributing to this is that it shows
16 that these neurodevelopmental effects are very important
17 and occur at lower levels than the red blood cell effects.
18 And you saw that in the zebrafish and you have the animal
19 studies. And so I think that -- I mean the way I read
20 this is that the epidemiology justifies the change in the
21 endpoint. Even though the animal studies that you have
22 aren't as extensive as what you have on the red blood cell
23 outcome, they do give you enough to estimate a
24 dose-response. And you're selecting them because of the
25 human epi. That's how I read this.

1 PANEL MEMBER RITZ: Can I make a comment.

2 I teach occupational epi and environmental epi,
3 and I know where you're coming from. We are all coming
4 from worker cohorts where there's high dose exposure in
5 humans that we can characterize pretty well.

6 To characterize pesticide exposure life-long even
7 in farmers is extremely hard. And to characterize
8 anything in children is also very hard. And here we had
9 an opportunity to take blood samples at one point in time
10 and urine at one point in time but then extrapolate out
11 the neurodevelopment. That is not the greatest data. But
12 even so it's really not the greatest data, it shows
13 something, but it may not be good enough to justify
14 everything that you want for regulation. But it points
15 you in the right direction. Right?

16 And of course we need more data from the humans.
17 But then the animal study comes back in and says, "Hey, we
18 actually can do this now." And that's the beauty of the
19 animal studies, going back to them with the -- you know,
20 with the dosing.

21 DR. DuTEAUX: And I think that even though
22 there's a paucity of animal data right now, there are some
23 FIFRA studies that we could maybe go back to. And I think
24 maybe either Svetlana or Marilyn can speak to briefly.
25 But there was one FIFRA registrant-submitted study that

1 was a developmental neurotoxicity study, right? I know
2 that they did not measure chlorpyrifos concurrently with
3 the DNT effects, but -- Hoberman?

4 DR. SILVA: Yes, it was Hoberman.

5 DR. DuTEAUX: Hoberman in 19...

6 And those were the kind of studies that we
7 mentioned at the December meeting where we have a volume
8 of data. There's, you know, a hundred page summary report
9 and then a thousand pages of individual animal data.
10 Those are the kind of data that we would really like to be
11 able to have, get our hands on and do some individual
12 analyses. Like Dr. Blanc was suggesting that we could do
13 our own 95 competence intervals or covariants or whatever
14 we want to look at. But on a published study where we
15 don't have individual data and they're only publishing
16 summary data without supplemental information, it's kind
17 of hard to get to the detail that we normally use as a
18 standard for our level of data precision and accuracy for
19 our risk assessment.

20 But I'll let Dr. Silva talk to this one
21 registrant-submitted study.

22 DR. SILVA: The interesting thing about this --
23 the interesting thing about the -- it's the first line.
24 Now all these effects that I've described here are
25 occurring at the LOEL; and the LOEL for all these is LOEL

1 for behavior. The Carr and Mohammed studies were all done
2 with 0.5 was the lowest dose tested. And like Dr. Glantz
3 was saying, it's so new that I was presenting a poster at
4 the teratology society meeting and I just happened to run
5 into this poster about chlorpyrifos that was Dr. Carr's.
6 And that's kind of what, you know, sort of got the idea
7 started.

8 But so 2015A is a poster. And Mohammed, et al.,
9 is a poster. But they're all out of the same laboratory.
10 And he used postnatal day 11 to 16 as his time of dosing
11 because he said that was -- corresponded to the human
12 postnatal period of brain development.

13 But as you can see by these main lines, there's
14 different strains of rat, mouse, all different treatment
15 periods. There's the DNT, the standard GD through --
16 GD3 -- 6 through lactation day 11. And the Sprague-Dawley
17 rats, the pups treated postnatal day 11 to 16; Wistar rats
18 treated during gestation; and male pups were tested
19 postnatally, Wistar rats. So there's a whole gamut of
20 developmental periods covered.

21 And it's -- so it's not just in utero treatment
22 that's important, because you're getting a lot of things
23 happening with postnatal exposure as well.

24 And then the bottom line is treatment in adult
25 males. And you're still getting the same LOEL.

1 DR. DuTEAUX: And Hoberman is --

2 DR. SILVA: Yes, Hoberman is the DNT study.

3 PANEL MEMBER BLANC: Yeah, I don't think the
4 point about gestational exposure was that you shouldn't
5 develop a value for 1- to 2-year olds. It's just that you
6 might need to develop a value for the childbearing age
7 women as well. They're two separate estimates, because --
8 I think that was really more the point of that.

9 And then I think that as you write your narrative
10 to justify the tenfold safety factor that is interspecies,
11 I think you need to emphasize that the things that you can
12 look at in a rat are not as subtle as what you can --
13 could look at in humans, right? I mean that's the main
14 thing, because if it's just -- there's a terms of
15 neurobehavior outcomes that's not -- it's not nearly as
16 subtle.

17 And then I think for the intraspecies tenfold
18 factor, there are a variety of things that would make you,
19 you know, consider vulnerable subpopulations that would
20 justify that without -- I don't think without much trouble
21 given the endpoint?

22 DR. SILVA: I think what's interesting about this
23 too is that so many different vehicles were used and
24 different laboratories and different treatment periods but
25 you're still coming up with this number, which is --

1 PANEL MEMBER HAMMOND: Well, actually I'd be a
2 little careful at -- you're right.

3 DR. SILVA: No, no --

4 PANEL MEMBER HAMMOND: But it's -- but the reason
5 the LOEL's coming into the same number is that that's the
6 lowest level that everybody tested.

7 DR. SILVA: That's right. That's right. But
8 it's --

9 PANEL MEMBER HAMMOND: You know, if you had the
10 full data set that we could conceive of, it might well be
11 they'd have different numbers where they happened.

12 DR. SILVA: Yes. And I think, you know, Carr's
13 lab had 0.5, but who knows what it could have been. And
14 there was one study, I think it was Silva, that actually
15 had a NOEL 0.01. And so some of the -- some of these
16 studies did not have a dose-response for those effects.
17 And that's where I think the, you know, effects may be
18 occurring at the level of the endocannabinoid system
19 before the acetylcholinesterase inhibition occurs. And
20 so, you know, you could be having low -- things
21 neurodevelopmentally happening before that.

22 PANEL MEMBER BLANC: Another argument, by the
23 way, in terms of the interspecies safety factor is that we
24 actually don't -- remarkably don't have any kind of
25 nonhuman primate experimental data. You might want to

1 allude to that one Dow study of Rhesus monkeys --

2 DR. SILVA: Oh, Rhesus monkeys.

3 PANEL MEMBER BLANC: -- which is for six months.
4 That's the only primate study that I saw anybody ever
5 refer to.

6 DR. SILVA: We're trying to get that. It's
7 actually a -- a medical college -- of a medical college
8 report which was never published. But we're trying to get
9 that.

10 PANEL MEMBER BLANC: That would be great. Or at
11 least to refer to it as a limita -- you know, a great
12 example of how limited the interspecies are.

13 PANEL MEMBER LANDOLPH: The other thing is -- I
14 agree with Stan completely. You know, we do this with
15 carcinogens all the time. We look for genotoxicity in
16 animals data and epi data.

17 And sometimes you don't have any of these or
18 sometimes just one.

19 And you can sell this second mechanism, but you
20 have to work in your introduction to sell it, that that
21 epidemiology data has become stronger with time, and now
22 it's into specific classes and specific compounds. So
23 you'll have to make that clear, you know, by analogy with
24 carcinogens, that it's real and you can regulate it that
25 way. You don't have to have the animal data. You can do

1 it based on the epidemiology if it's strong.

2 PANEL MEMBER GLANTZ: And also -- I'm sorry. The
3 zebrafish data supports it too.

4 DR. SILVA: I have that too.

5 PANEL MEMBER ANASTASIO: Can I ask a question
6 about the colorful three-column figure with the original
7 DPR, the DNT DPR, and the U.S. EPA?

8 So first just a technical question. Point of
9 departure. Sometimes it's a LOEL, sometimes it's a NOEL.

10 DR. KWOK: According to the U.S. EPA definition,
11 actually the point of departure is the point where the
12 extrapolation occur. So you know that means actually --
13 the dose you actually divide it in uncertainty factors.
14 So if you have the -- you've got -- the lowest test turns
15 out to be LOEL. The lowest of them the level, then your
16 PoD become the NOEL. And then you -- of course, you
17 divide uncertainty factors to compensate in the absence of
18 a Noel. But most of the time --

19 PANEL MEMBER ANASTASIO: And it's usually by a
20 factor of 10?

21 DR. KWOK: -- to the NOEL or the BMD 10. It
22 really depends on how you get it.

23 PANEL MEMBER ANASTASIO: Okay. So --

24 DR. KOSHLUKOVA: It's in the table legend in our
25 Executive Summary Table -- Summary Table 1. We define the

1 point of departure. This is Executive Summary Table 1,
2 and here is the point of departure definition.

3 PANEL MEMBER ANASTASIO: Well, that sounds like a
4 LOEL.

5 PANEL MEMBER BLANC: Well, when you don't --

6 PANEL MEMBER GLANTZ: Microphone.

7 PANEL MEMBER BLANC: Well, what we've done
8 traditionally is if you only have a LOEL, then you divide
9 it by 10 and start from there with -- and then you start
10 doing all your other things, whatever you want to call it.
11 But that's the starting point.

12 PANEL MEMBER ANASTASIO: I see. So when you have
13 a point of departure -- can we go back to the very
14 colorful table.

15 DR. KOSHLUKOVA: So point of departure came
16 fairly recently as a terminology. And it's typically --
17 at least to my knowledge it started being used after the
18 benchmark dose modeling became more and more use in risk
19 assessment. Before that, it was usually a LOEL-NOEL
20 extrapolation or a NOEL -- NOEL established as a dose in
21 an animal study.

22 And then so PoD we would see -- we would see for
23 a benchmark dose modeling for PBPK-derived endpoints
24 for -- when it's not modeling we would traditionally refer
25 to a LOEL-NOEL. But PoD would be the equivalent of a

1 NOEL.

2 PANEL MEMBER ANASTASIO: Okay. So then I think I
3 understand.

4 So the point of departure here in green, it's 10
5 micrograms per kilogram per day. So the LOEL from the
6 animal study was 100 micrograms per kilogram per day, and
7 you're dividing that by 10 to go essentially from the LOEL
8 to the NOEL, which here is the point of departure?

9 DR. DuTEAUX: Right, exactly.

10 PANEL MEMBER ANASTASIO: Okay. So I understand
11 that. Thank you.

12 So getting back to Stan's point about uncertainty
13 factors, you know, Andrew was just saying in the
14 intraspecies uncertainty factor for hot spots, we -- or
15 they consider a factor of 10 for adult variability but
16 then add an additional factor of 3 to go from adult to
17 child.

18 So I'm wondering then should that 10 for intra
19 instead be 30?

20 DR. LIM: Wait. You want Andy or you want OEHHA?
21 You want OEHHA.

22 I think you want Andy Salmon --

23 PANEL MEMBER ANASTASIO: Whoever would like to
24 address this point.

25 DR. LIM: I think you're referring to Andy

1 Salmon --

2 PANEL MEMBER ANASTASIO: Yes.

3 DR. LIM: -- when he gave the presentation.

4 Yeah, the other Andy.

5 So we made a table as requested. And it shows
6 the three columns. And, Svetlana, you can show that on
7 the screen.

8 No, not that one.

9 Well, I'll go ahead and start talking.

10 So the first column has the DPR draft TAC,
11 obviously acetylcholinesterase inhibition with the
12 uncertainty factor of 1, 10, 10, with a total of 100. So
13 that you've seen many times.

14 And then second column has the OEHHA finding, if
15 the RBC acetylcholinesterase is on screen now, is based
16 on -- is the basis for the point of departure where the
17 interspecies was 3, which includes the model uncertainty,
18 and then the intraspecies based on the Hot Spots program
19 is a factor of 10 for pharmacokinetic differences, and
20 then a factor of 3 which is actually the square root of 10
21 for pharmacodynamics. So that's where the 30 comes from
22 that you're familiar seeing in the Hot Spots program. And
23 the additional uncertainty factor is the one we talked
24 about when you're using RBC as the endpoint with a total
25 100.

1 And I had to sort of explain is that instead
2 of -- the 3 is really the square root of 10, so that how
3 come the math comes out to be 1,000.

4 Earlier you also asked what would we propose the
5 uncertainty factor for DNT would be. I think -- we don't
6 have a firm position right now, I think -- for the
7 interspecies. It would likely be 10 if it's based on an
8 animal study.

9 We also would like to weight the human studies
10 into the mix before we come up with some definitive
11 answer.

12 Intraspecies will be probably 30. That's, you
13 know, accounting for pharmacokinetics and pharmacodynamic
14 differences.

15 And here's where the -- we might need to have
16 additional uncertainty factor, not the FQPA one but to --
17 for extrapolating from a LOEL to a NOEL or other kind of
18 uncertainty that we might need to take into consideration.

19

20 DR. DuTEAUX: These would be recommendation.

21 DR. LIM: Yes.

22 DR. RUBIN: Hello. I'm Andy Rubin, and I'm a
23 Risk Assessor with the Human Health Assessment Branch.

24 First I'd like to say, it's somewhat reassuring:
25 I started in this 20-25 years ago. People used to argue

1 about what the PoDs were. And then as time went on they
2 began arguing more about what the uncertainty factors were
3 and left the PoDs behind. Now we're arguing about both
4 the PoDs and the uncertainty factors. So its a very
5 talmudic process.

6 Anyway, I just have a couple of brief remarks
7 about the intrahuman uncertainty factor. Everyone accepts
8 that there is uncertainty regarding the range of
9 sensitivity in human populations. DPR, OEHHA, U.S. EPA,
10 the Scientific Review Panel, we all know that there is
11 uncertainty there.

12 The widely adopted default is one order of
13 magnitude. In other words, an uncertainty -- a human
14 uncertainty -- intrahuman uncertainty factor of 10. And
15 Dr. Blanc mentioned this this morning that the 10x was at
16 least -- is at least traditionally considered a
17 conservative value.

18 DPR has traditionally accepted this default
19 value. But in 2008 OEHHA issued a technical support
20 document - it's a wonderful document - that advocated
21 higher intrahuman uncertainty values. It's there -- there
22 are lots of references in there and examples of chemicals
23 and so forth.

24 So the -- the uncertainty factor for humans is
25 now commonly divided into two parts, as we've mentioned, a

1 toxicokinetic part and -- hope that's not my phone.

2 (Laughter.)

3 DR. RUBIN: Yeah, yeah.

4 -- a toxicokinetic aspect and a toxicodynamic
5 aspect. Both are assigned a square root of 10. It's sort
6 of a logarithmic half of -- for each of those components.

7 In the OEHHA 2008 document, which was part of
8 their Hot Spots program, they showed that with respect to
9 the toxicokinetic uncertainty factor, there's just a whole
10 range. I think they looked at 25 chemicals, and 12 or 13
11 of them had toxicokinetic factors of 3 or less actually,
12 and then five had toxicokinetic factors of 10 or more, and
13 the rest were between 3 and 10.

14 So there's a whole spectrum. We can throw all
15 these numbers into the air and then grab the one that we
16 feel has the -- you know, that we can support.

17 They -- OEHHA stated that the reason for adopting
18 higher values for the intrahuman, whether we're talking
19 about toxicokinetic or toxicodynamic, is that there are --
20 there are special populations that may have -- have
21 greater sensitivity.

22 So all of these results, the spectrum of
23 chemicals, lower than 3, higher than 10, and so forth,
24 emphasize the difficulty in assigning a default
25 uncertainty factor for intrahuman variation.

1 In a way it's -- there's tremendous uncertainty
2 in defining the uncertainty.

3 And I don't know -- there's just no absolutely
4 right answers about these things. We just have to go to
5 what we feel are best supported now today.

6 DPR decided for chlorpyrifos for the present to
7 stay with a -- with an intrahuman uncertainty factor of
8 10, feeling that that represented the -- adequately
9 represented the human population, the variants -- the
10 variation in sensitivity in the human population. And
11 obviously there's some disagreement on this. There is
12 some modeling work. I think Svetlana is -- might want to
13 comment on this, that with respect to variation in
14 sensitivity with respect to cholinesterase activity, that
15 supports a 10 or perhaps even less.

16 So would any of you like to...

17 DR. DuTEAUX: Bootstrap.

18 DR. RUBIN: Yeah, this is a study that used
19 modeling and bootstrapping. Lori mentioned it earlier in
20 one form.

21 DR. DuTEAUX: The one that I sent you. That's --
22 you know, that's from --

23 DR. RUBIN: That's it.

24 DR. DuTEAUX: There you go. The next slide.

25 DR. RUBIN: I'm deferring to them because they

1 have better knowledge of this particular study.

2 DR. KWOK: I think it -- kind of like follow up
3 with what Dr. Ruben just said, that, you know -- this is
4 an example of a cholinesterase inhibition. But, you know,
5 the table show here is pretty much in an even if you
6 measure the range based on the mutual study. And, you
7 know, if you look -- do the bootstrapping, meaning
8 actually you keep doing the resampling, and then get a
9 wider range and, you know, to integrate into the PBPK
10 model, I mean after you consider all the variation. Then
11 you can see that the -- the -- that's how they actually
12 divide their so-called data -- divide the uncertainty
13 factor.

14 CHAIRPERSON KLEINMAN: Excuse me.

15 We are going to be losing our quorum in a few
16 minutes, because we're -- we have a plane that's going to
17 be caught.

18 But I think that we're at a point -- there are
19 still a lot of things to discuss. We're not going to be
20 able to finish everything today.

21 We're going to have to have at least one more
22 meeting on this topic. And I'd like to invite everybody
23 back as soon as we get the date picked for that.

24 In the meantime, you know, it would be very
25 useful, since there is new information that you are

1 considering, if we could get, you know, anything like that
2 distributed to the committee so we can look at it before
3 the next meeting. And then we still -- you know, we've
4 not -- I think we've covered a lot of what was in those
5 charge questions already. And we may be able to, you
6 know, sort of expedite things out at the next session.

7 Stan.

8 PANEL MEMBER GLANTZ: Just quickly to -- I do
9 think we made a lot of progress today actually. But I'd
10 just like to -- I don't want to make this an official
11 motion or anything, but just to see -- I mean it seems to
12 me the sense of the Panel is that the risk assessment
13 should be based on developmental neurotoxicity and that
14 the -- you know, with the epi used to justify that, but
15 doing the actual dose-response on the animals.

16 And so it would be nice -- and I -- again, I keep
17 saying this. I don't think you need a drastic revision of
18 the report. Because I don't want you to go off and spend
19 another year doing it.

20 But it would be really helpful if it was possible
21 to just get -- and I don't know if it's a matter of doing
22 a track changes on this or just some kind of supplemental
23 memo about like taking the stuff you showed us here and
24 putting it down on paper so we can look at it.

25 And then it seems to me -- I mean there seem to

1 me two other sort of outstanding issues. One is to
2 finally reach some kind of consensus about the uncertainty
3 factors. And I think there's been a lot of good
4 discussion of that.

5 And then the one other issue that we haven't
6 talked about at all is the exposure stuff, which we'll
7 talk about next time. But I think in terms of the
8 biology, unless somebody here disagrees, I think you've
9 heard a pretty strong consensus out of the Panel on where
10 to go with this. Is that...

11 Okay. I hope that's helpful. And again, it's
12 all based on what you have in here.

13 PANEL MEMBER LANDOLPH: And Keep the nerve -- the
14 acetylcholinesterase inhibition and that mechanism in.

15 PANEL MEMBER GLANTZ: Yeah. Oh, yeah. I agree.

16 PANEL MEMBER LANDOLPH: So you won't have to do
17 that much work.

18 PANEL MEMBER ANASTASIO: I have just one other
19 thing to add. I think you really do need to consider the
20 vapor exposure. The concentration is much lower at time
21 of application, but it's this huge reservoir sitting in
22 the field that's then volatilizing over probably days to
23 weeks. So I think you need to at least look at what's the
24 potential exposure to the vapor phase given that, you
25 know, most of the stuff that gets applied stays on the

1 field. And then it's volatilizing.

2 So, it really should be looked at, how important
3 could that be?

4 PANEL MEMBER HAMMOND: And quickly I would add, I
5 really would like to see some data on air sampling. That
6 would help to buttress the models.

7 DR. DuTREAUX: And we can of course.

8 PANEL MEMBER HAMMOND: But that's for next time,
9 I mean. I understand.

10 CHAIRPERSON KLEINMAN: All right. At that point
11 I'd like to entertain a motion to adjourn.

12 Stan.

13 PANEL MEMBER GLANTZ: Moved.

14 CHAIRPERSON KLEINMAN: Okay. Seconded?

15 PANEL MEMBER RITZ: Second.

16 CHAIRPERSON KLEINMAN: We're adjourned.

17 (Thereupon the California Air Resources Board,
18 Scientific Review Panel adjourned at 3:49 p.m.)

19

20

21

22

23

24

25

1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, do hereby certify:

4 That I am a disinterested person herein; that the
5 foregoing California Air Resources Board, Scientific
6 Review Panel meeting was reported in shorthand by me,
7 James F. Peters, a Certified Shorthand Reporter of the
8 State of California;

9 That the said proceedings was taken before me, in
10 shorthand writing, and was thereafter transcribed, under
11 my direction, by computer-assisted transcription.

12 I further certify that I am not of counsel or
13 attorney for any of the parties to said meeting nor in any
14 way interested in the outcome of said meeting.

15 IN WITNESS WHEREOF, I have hereunto set my hand
16 this 11th day of February, 2018.

17
18
19
20 

21
22
23 JAMES F. PETERS, CSR
24 Certified Shorthand Reporter
25 License No. 10063